

KENTUCKY BOARD OF DENTISTRY
SPECIAL MEETING AGENDA #1 AND #2

DATE: TUESDAY, MARCH 29, 2011

TIME: 9:00 A.M.

PLACE: OFFICE OF THE KENTUCKY BOARD OF
DENTISTRY

REPORTER: CHERYL SALYER

MIGLIORE & ASSOCIATES
COURT REPORTERS AND VIDEO SERVICES
3704 WOODED SPRINGS COURT
LOUISVILLE, KENTUCKY 40245
(502)241-7557 FAX: (502)241-7587
EMAIL: INFO@MIGLIOREASSOCIATES.COM

1	TABLE OF CONTENTS	1	KENTUCKY BOARD OF DENTISTRY
2	PAGE	2	SPECIAL MEETING 1 AND 2
3	CALL TO ORDER SPECIAL MEETING 1 4	3	
4	ROLL CALL AND DECLARATION OF QUORUM 4	4	DR. FORT: I would like to call to order
5	AJOURNMENT 131	5	the Special Meeting Number 1.
6	SPECIAL MEETING 2 131	6	MS. TURNER: Roll call?
7	ADJOURNMENT 146	7	DR. FORT: Yes, Lisa, would you please
8	CERTIFICATE 147	8	do roll call.
9		9	MS. TURNER: Dr. Greg Vance. And I
10		10	failed to call Dr. Greg Vance at the public comments
11		11	hearing, too. He is absent.
12		12	Dr. Julie McKee?
13		13	DR. MCKEE: Here.
14		14	MS. TURNER: Dr. Katherine King.
15		15	(NOT PRESENT.)
16		16	MS. TURNER: Dr. Rich?
17		17	DR. RICH: Present.
18		18	MS. TURNER: Dr. Zena?
19		19	DR. ZENA: Here.
20		20	MS. TURNER: Allan Francis?
21		21	MR. FRANCIS: Here.
22		22	MS. TURNER: Dr. Fort?
23		23	DR. FORT: Present.
24		24	MS. TURNER: Mary Ann Burch?
25		25	MS. BURCH: Here.
	Page 2		Page 4
1	APPEARANCES	1	MS. TURNER: Dr. Susan King?
2	BOARD MEMBERS PRESENT	2	DR. S. KING: Present.
3	C. MARK FORT, D.M.D.	3	MS. TURNER: Dr. Robinson?
4	JULIE MCKEE, D.M.D.	4	DR. ROBINSON: Here.
5	ADAM K. RICH, D.M.D.	5	MS. TURNER: Dr. Daughtery?
6	ROBERT B. ZENA, D.M.D.	6	(NOT PRESENT.)
7	SUSAN M. KING, D.M.D.	7	MS. TURNER: Dr. Fort, you have the
8	MARY ANN BURCH, R.D.H.	8	quorum.
9	ALLAN D. FRANCIS	9	DR. FORT: Thank you. All right.
10	FONDA ROBINSON, D.M.D.	10	So this meeting is to consider the comments
11		11	presented at the public hearing on KR -- 201 KR --
12	STAFF MEMBERS PRESENT	12	KAR 8:550, "Sedation and Anesthesia."
13	BRIAN K. BISHOP, EXECUTIVE DIRECTOR	13	Let's do four first. Consideration of approval
14	WILLIAM A. BAUSCH, GENERAL COUNSEL	14	of the credential's committee report, just so we
15	LISA TURNER, EXECUTIVE STAFF ADVISOR	15	can -- I'm sorry. I caught you off guard. I didn't
16		16	tell you, but I actually did read ahead.
17	OTHERS PRESENT	17	MS. BURCH: Okay. The credential
18	WILLIAM J. MOORHEAD, D.M.D.	18	committee met yesterday --
19	RICHARD PAPE, D.M.D.	19	DR. FORT: Okay. We will wait. I'm
20	SHEILA SCHUSTER, PH.D.	20	sorry. I just jumped ahead. I'm sorry.
21	JOHN GAITHER	21	Okay. Let's do comments. So when we go
22	TRICIA SHACKELFORD	22	through the comments, Brian --
23	ELISA PAPE, D.M.D.	23	MR. BISHOP: Go ahead. I can do that.
24	STEVEN RHODES, D.M.D.	24	DR. FORT: Would you just lead us
25	JAMES HARGAN, D.M.D.	25	through the comments that were made, and we will
	Page 3		Page 5

<p>1 have our discussion.</p> <p>2 MR. BISHOP: I will.</p> <p>3 DR. FORT: And thank you very much.</p> <p>4 MR. BISHOP: Yes, sir. I'm ready to</p> <p>5 direct traffic.</p> <p>6 DR. FORT: Thank you, and let's go.</p> <p>7 MR. BISHOP: The first comment we have</p> <p>8 was from Dr. Fred Look. That was the one that I</p> <p>9 read into the record.</p> <p>10 His proposed solution was to encourage the</p> <p>11 board to add EKG monitoring and AED availability to</p> <p>12 the regulations for everyone administering moderate</p> <p>13 sedation. It is time for the Kentucky Board of</p> <p>14 Dentistry to step up and be a leader and do the</p> <p>15 right thing.</p> <p>16 Let me ask a question of Dr. Moorhead. This</p> <p>17 seems very similar to the change that the KDA work</p> <p>18 group proposed. You put permissive language in</p> <p>19 there or language in it that would require a</p> <p>20 defibrillator or an AED.</p> <p>21 DR. MOORHEAD: But not an EKG. That's</p> <p>22 the difference.</p> <p>23 MR. BISHOP: Okay. Either a</p> <p>24 defibrillator -- maybe -- I am sorry.</p> <p>25 DR. MOORHEAD: A defibrillator or an</p> <p style="text-align: right;">Page 6</p>	<p>1 rationale that we -- of the compromise we made was</p> <p>2 that an AED does have on it the ability to check for</p> <p>3 a shockable rhythm.</p> <p>4 MR. BISHOP: Okay. So I just want to be</p> <p>5 sure we have the difference here. It looks like</p> <p>6 what Dr. Look is asking for is that we require an</p> <p>7 EKG and an AED be available, where the KDA sedation</p> <p>8 work group said you either have to have a</p> <p>9 defibrillator or an AED.</p> <p>10 DR. FORT: Okay. I have a question,</p> <p>11 Dr. Moorhead. Why would you have not included an --</p> <p>12 and this is only so you have a chance to talk. Why</p> <p>13 would you have not included an EKG in your</p> <p>14 recommendations?</p> <p>15 DR. MOORHEAD: Do I need to be up there</p> <p>16 (indicating)?</p> <p>17 DR. FORT: It would be nice.</p> <p>18 DR. MOORHEAD: Okay. Personally, I have</p> <p>19 an EKG in my office. I have done IV sedation now</p> <p>20 for about a year and a half. I've done oral</p> <p>21 sedation for about 10 years. Of the people on my</p> <p>22 work group, I was the only one that had done oral</p> <p>23 sedation.</p> <p>24 For people that do not have experience with an</p> <p>25 EKG, to put one there without expertise, if you</p> <p style="text-align: right;">Page 8</p>
<p>1 automated external defibrillator.</p> <p>2 MR. BISHOP: Okay. What other kind --</p> <p>3 just because I don't know -- is there?</p> <p>4 DR. MOORHEAD: That's the only kind of</p> <p>5 defibrillators. And then the other thing is that</p> <p>6 there should be a rhythm strip EKG present.</p> <p>7 MR. BISHOP: Okay. So by allowing a</p> <p>8 defibrillator or an AED --</p> <p>9 DR. MOORHEAD: An AED has a built-in --</p> <p>10 you can't see it, but it has a EKG built in. So it</p> <p>11 can evaluate a life-threatening rhythm and deliver a</p> <p>12 shock.</p> <p>13 MR. BISHOP: Yeah. And some of them do</p> <p>14 have displays on them where you can see if it's</p> <p>15 V-fib or V-tach or whatever it is. An actual</p> <p>16 defibrillator --</p> <p>17 DR. MOORHEAD: An actual defibrillator</p> <p>18 would require an EKG, and that's the paddles.</p> <p>19 MR. BISHOP: Yeah. Okay. So my</p> <p>20 question was, if a defibrillator or AED would</p> <p>21 address, at least in part, his comment because he is</p> <p>22 requesting here that we do either an EKG monitoring,</p> <p>23 he says, and AED available. You guys made it a</p> <p>24 little more permissive, and it was either/or.</p> <p>25 DR. MOORHEAD: And the reason for the</p> <p style="text-align: right;">Page 7</p>	<p>1 don't use it for a while, there is a learning curve.</p> <p>2 And there was some argument on whether there</p> <p>3 would -- if it's there and you don't understand</p> <p>4 it -- whether there is a legal liability.</p> <p>5 So that was one argument. Knowing that</p> <p>6 argument, I contacted those three experts I</p> <p>7 mentioned earlier -- Maimed, Hawkins and Becker --</p> <p>8 and I asked is there a cookbook for me that you can</p> <p>9 go by for these practitioners that might have an EKG</p> <p>10 forced on them?</p> <p>11 Is there something that can say if you do a</p> <p>12 preop EKG and you see this, you need to do this?</p> <p>13 And if it was okay on the preop EKG but if you're</p> <p>14 getting to the procedure that's stressful and you</p> <p>15 see this, you should do this? And there is nothing</p> <p>16 like that. And what's more, because of legal</p> <p>17 liabilities, there's not going to be something like</p> <p>18 that. They want you to take CE courses, et cetera,</p> <p>19 to learn that. But it's not a quick course. It</p> <p>20 takes a while to do that.</p> <p>21 DR. FORT: Okay.</p> <p>22 DR. MOORHEAD: And dentists are not</p> <p>23 cardiologists.</p> <p>24 DR. FORT: The past statute did not have</p> <p>25 EKGs in it.</p> <p style="text-align: right;">Page 9</p>

<p>1 DR. MOORHEAD: Or AEDs. 2 DR. FORT: Or AEDs. 3 DR. MOORHEAD: Correct. 4 DR. FORT: Okay. AEDs probably weren't 5 even around when that one was written. 6 Now, at the risk of confirming my ignorance, 7 what about pulse oximeters? How close do they get 8 to that particular -- 9 DR. MOORHEAD: Well, pulse oximeters 10 were required under the old regulation for what is 11 now called moderate sedation. 12 DR. FORT: Okay. 13 DR. MOORHEAD: They were even required 14 for the non permitted oral sedation provider. 15 They -- the biggest issue to do with sedation, 16 especially moderate sedation, is maintaining an 17 airway. And all the training is primarily geared 18 toward knowing how to maintain that airway. 19 Same thing to do with minimal sedation for 20 pediatric because on pedos, it is easier to lose 21 that airway. So that's why our recommendations are 22 different from minimal sedation for pedos, and the 23 pulse oximeter is to address that airway. 24 DR. FORT: Okay. So they would not be 25 considered interchangeable?</p> <p style="text-align: right;">Page 10</p>	<p>1 MR. BISHOP: That's a great question and 2 a great comment. In the regulatory world, they're 3 always looking for something specific because that's 4 going to be inspection criteria. And if we don't 5 give a dentist the heads-up on it, we expect to find 6 either an AED or an EKG. 7 And when you write regs, although this has been 8 what has felt like a 20-year process, regs will only 9 last probably about five years or so at best, and 10 then we'll be right back in this middle of this 11 again, looking at all the updates. 12 MS. BURCH: I thought it only had to be 13 reviewed every two years. 14 MR. BISHOP: Well, they'll probably be 15 reviewed every two years. But, typically, an agency 16 doesn't have to make a change for about five or so. 17 MS. BURCH: Okay. 18 DR. MOORHEAD: And may I speak to that 19 last one too? Even the American Dental 20 Association's sedation recommendation uses those 21 terms, too, because they're universally recognized 22 terms, and I would suppose -- I would presume that 23 it eliminates ambiguity. 24 DR. FORT: I mean, even if you were to 25 throw something in like -- you know, a minimum</p> <p style="text-align: right;">Page 12</p>
<p>1 DR. MOORHEAD: Not directly, no. 2 DR. FORT: You wouldn't gain the same 3 information from a pulse oximeter as you would from 4 an EKG? 5 DR. MOORHEAD: Correct. 6 DR. FORT: Okay. All right. 7 DR. ZENA: I have a comment. Why do we 8 want to make -- and this is just something to think 9 about. If we're going to write laws, why do we want 10 to make language where technology is moving so fast 11 that eventually these pieces of equipment that we're 12 talking about become obsolete? 13 So why not include language that's more general 14 to where we don't have to argue about an AED or an 15 EKG or pulse oximeter? All we do is describe what 16 problems that we want to be able to address if 17 there's an issue. You see what I am saying? 18 So we get out of this EKG and AED problem by 19 excluding those specific pieces of equipment and 20 more address the actual problems themselves so that 21 they have the ability to check for a shockable 22 rhythm, they can maintain an airway and deliver a 23 shock or whatever. But we don't have to tie the law 24 to a specific piece of an equipment. You know, I've 25 got a problem with all that.</p> <p style="text-align: right;">Page 11</p>	<p>1 equipment requirement or, you know, something like 2 that might be proactive. But we're not to that. 3 Okay. So the way we proceed through this -- 4 DR. ZENA: I'm sorry. I missed that. 5 DR. FORT: If you were to say, like, a 6 minimum level of equipment, armamentarium, would 7 include to kind of catch where you were going with 8 that. 9 So, yeah, I mean, I am with you on that. I 10 don't know if we can do it, but I am with you. 11 MR. BISHOP: You have to be careful how 12 general you get because you have to remember, 13 MacGyver used a computer screen and two silver 14 candlesticks, and if you leave it too far open, you 15 really open Pandora's box where you're not 16 accomplishing what you want, because there is 17 always -- 18 DR. ZENA: If you're too specific, on 19 the other hand, then you've got a problem with 20 technology. 21 MR. BISHOP: Sure. So -- 22 DR. FORT: The way we'll proceed through 23 this is that we make a motion to accept, reject -- 24 MR. BISHOP: This comment. 25 DR. FORT: Or, yeah, accept or reject</p> <p style="text-align: right;">Page 13</p>

<p>1 this comment.</p> <p>2 MR. BISHOP: And the specific comment</p> <p>3 that you're considering is --</p> <p>4 DR. RICH: Can we reject this comment --</p> <p>5 MR. BISHOP: We can do either part.</p> <p>6 DR. RICH: -- and accept somebody's else</p> <p>7 later --</p> <p>8 MR. BISHOP: Certainly.</p> <p>9 DR. RICH: -- with the intent of --</p> <p>10 MR. BISHOP: Certainly. Yeah.</p> <p>11 DR. RICH: Okay. I vote that we reject</p> <p>12 the comment.</p> <p>13 MR. BISHOP: Okay.</p> <p>14 DR. FORT: Second?</p> <p>15 MR. FRANCIS: What comment are we</p> <p>16 rejecting?</p> <p>17 MR. BISHOP: It's the one from -- by</p> <p>18 Dr. Fred Look. I'm sorry.</p> <p>19 DR. FORT: He wanted to add EKG</p> <p>20 monitoring and AED to --</p> <p>21 DR. S. KING: I second it.</p> <p>22 DR. FORT: Okay. Dr. Susan King seconds</p> <p>23 it. Any --</p> <p>24 DR. RICH: Dr. Fort, you already</p> <p>25 seconded it.</p> <p style="text-align: right;">Page 14</p>	<p>1 MS. SCHUSTER: I figured you really</p> <p>2 wanted to hear from a CRNA, not a psychologist.</p> <p>3 MR. BISHOP: No. I'll need your</p> <p>4 services once we are done.</p> <p>5 DR. FORT: Go ahead.</p> <p>6 MR. BISHOP: Well, we are. He is having</p> <p>7 a sidebar with Dr. --</p> <p>8 MR. GAITHER: I'm sorry. I was just</p> <p>9 talking about -- I was just asking a question for a</p> <p>10 last name. Is it okay if I do that for one second?</p> <p>11 MR. BISHOP: Sure. Sure. It's all fun</p> <p>12 and games when the guns come out.</p> <p>13 MR. FRANCIS: Let me ask a very quick</p> <p>14 question: Are you currently providing CRNA services</p> <p>15 to the dentistry in the State of Kentucky right now?</p> <p>16 MR. GAITHER: That's a good question.</p> <p>17 In the past, with your guidelines up until now, yes,</p> <p>18 we have been providing that. However, with the</p> <p>19 current regulation that you have, there's a little</p> <p>20 bit of ambiguity. And the question that we ask is,</p> <p>21 under your current regs, are we allowed to practice</p> <p>22 like that?</p> <p>23 So that's why we asked for an advisory opinion</p> <p>24 from your board. So in the past we were, but</p> <p>25 currently, we're not really sure. So that is why we</p> <p style="text-align: right;">Page 16</p>
<p>1 DR. FORT: Huh? Who did?</p> <p>2 DR. RICH: You did.</p> <p>3 DR. FORT: No, I said I need a second.</p> <p>4 DR. RICH: Oh, okay. I thought you said</p> <p>5 second.</p> <p>6 DR. FORT: Okay. I will just bail you</p> <p>7 out if we really get in trouble.</p> <p>8 Any additional discussion? All in favor?</p> <p>9 BOARD MEMBERS: Aye.</p> <p>10 DR. FORT: Any opposed?</p> <p>11 BOARD MEMBERS: (No response.)</p> <p>12 DR. FORT: Okay. Dr. Look has been</p> <p>13 taken care of.</p> <p>14 The next one?</p> <p>15 MR. BISHOP: Because they are kind of</p> <p>16 intertwined, I guess we can take a minute. Let's --</p> <p>17 would it be okay if we go with the anesthesiologist</p> <p>18 group because it kind of tied in with some of the</p> <p>19 stuff that Dr. Moorhead had too.</p> <p>20 So let's look at the comments by, first, the</p> <p>21 Kentucky Association Nurse Anesthetists with</p> <p>22 Sheila Schuster.</p> <p>23 MS. SCHUSTER: Mr. Gaither.</p> <p>24 MR. BISHOP: Oh, I'm sorry. Yes.</p> <p>25 DR. FORT: Thank you, Dr. Moorhead.</p> <p style="text-align: right;">Page 15</p>	<p>1 had these issues, and we're discussing with you-all</p> <p>2 today.</p> <p>3 MR. BAUSCH: Okay. And I have the</p> <p>4 drafted advisory that ties somewhat into a segue</p> <p>5 from the emergency regs. So if we understand what</p> <p>6 we are currently doing and make sure those are</p> <p>7 clearly defined and that's where we want to be, then</p> <p>8 the new regulations and the old regs would then be a</p> <p>9 consistent scene. But I think your question is what</p> <p>10 can we do now before the old regs come on so we</p> <p>11 understand our current regulatory scheme, then we go</p> <p>12 forward.</p> <p>13 MR. GAITHER: Okay.</p> <p>14 MR. BAUSCH: And that was issued -- a</p> <p>15 request was issued, and I have a draft that</p> <p>16 obviously I can't circulate until the Board grants</p> <p>17 authority that they either like it, don't like it,</p> <p>18 or want to change.</p> <p>19 MR. GAITHER: Right. Right.</p> <p>20 MR. BURCH: But that kind of gives us a</p> <p>21 stepping off point from emergency regs to old regs;</p> <p>22 so -- Dr. Fort, with your permission, I'll be glad</p> <p>23 to try to explain what has transpired here.</p> <p>24 MR. FRANCIS: Well, we need to because</p> <p>25 obviously, you know, if we are providing services</p> <p style="text-align: right;">Page 17</p>

<p>1 and all of the sudden, you know, we are in limbo 2 around here and you can't do anything, we need to 3 figure that out.</p> <p>4 DR. FORT: Okay. Let's define the 5 problem before we go to the solution. Let's just 6 try to not completely stir up the waters.</p> <p>7 MR. BURCH: There were two specific 8 questions. And one is, are we, as the dental board, 9 through the E regs limiting CRNAs? Are we in some 10 manner limiting what they can and cannot do?</p> <p>11 And secondly, there was -- under Section 9, it 12 listed the educational settings that were required 13 and the CRNA education level that is being allowed 14 to provide sedation. We're not intending to not 15 include them.</p> <p>16 But for clarity's sake, if CRNAs are going to 17 be able to provide sedation, then whether or not we 18 just need to say yes, they are, even though we 19 didn't in the E regs list it -- and then if that's 20 the determination, then the next step is under the 21 new regulatory provisions, do you want to include 22 the CRNA educational levels as qualifications for 23 sedation?</p> <p>24 DR. FORT: The first comment that they 25 made on section -- on the one that refers to Section</p> <p style="text-align: right;">Page 18</p>	<p>1 this Section 9 as what folks must have. As it 2 related to CRNAs, we were kind of referencing a 3 black hole. So there were kind of two options.</p> <p>4 Number one, I think your interpretation of 5 Section 9 is correct. A dentist could have any of 6 those levels of education. When you look at that 7 along with Section 21 and 22, we needed to put the 8 CRNA education in there to reference what the board 9 looks at from a CRNA perspective; that this is what 10 the Board of Nursing considered to be a CRNA, 11 someone who has completed these types of courses.</p> <p>12 So that addition, I think, is appropriate and 13 kind of helps us reference an actual process rather 14 than the black hole that we kind of created.</p> <p>15 MRS. SHACKELFORD: And I will interject. 16 My comments are not -- don't track this language 17 completely. And I would defer -- I think that the 18 language that they have proposed is more appropriate 19 than the language that I proposed. So I would 20 suggest that the Board go with the language proposed 21 by the association.</p> <p>22 DR. FORT: Okay. All right. So with 23 regard to Section 9, I'll enter in a motion to 24 accept or reject these comments.</p> <p>25 DR. RICH: I motion we accept.</p> <p style="text-align: right;">Page 20</p>
<p>1 9 --</p> <p>2 MR. BURCH: I gave all of mine out; 3 so I --</p> <p>4 DR. FORT: I've got more. Well, I 5 thought I did. That's fine. Maybe, I don't.</p> <p>6 The first comment -- and what we'll do with 7 theirs is do it by part.</p> <p>8 MR. FRANCIS: Okay. Section 9?</p> <p>9 DR. FORT: Yeah. We're going to do 10 Section 9, this first half of page two.</p> <p>11 What I see here is -- he's made cross-outs of 12 provided proof and successful completion of, which 13 is redundant to number four at the top, and then the 14 addition of D.</p> <p>15 And the way I understand this, if a dentist 16 wants to give sedation, he can find himself going to 17 a board-approved nurse anesthetist school, and that 18 would be okay. This has absolutely nothing to do 19 with nurse -- nurse -- one of those people 20 (indicating).</p> <p>21 MR. BISHOP: I think you're right.</p> <p>22 DR. FORT: Okay.</p> <p>23 MR. BISHOP: When I spent some time with 24 Dave Nicholas over at the administrative regs the 25 other day -- Section 21 and 22 referenced back to</p> <p style="text-align: right;">Page 19</p>	<p>1 DR. S. KING: Could we have more 2 discussion?</p> <p>3 DR. FORT: We certainly can.</p> <p>4 DR. S. KING: Are we going to identify 5 the comments? Can we get more specific about what 6 we're accepting?</p> <p>7 DR. RICH: D.</p> <p>8 MR. FRANCIS: D.</p> <p>9 DR. S. KING: Just the D, educational 10 requirements; is that all?</p> <p>11 DR. FORT: Right now, just this part 12 right here.</p> <p>13 DR. S. KING: Just the educational --</p> <p>14 DR. FORT: D.</p> <p>15 DR. S. KING: Okay. I'm with you. I'm 16 just double-checking.</p> <p>17 DR. FORT: Okay. Any additional 18 discussion?</p> <p>19 MS. BURCH: As a suggestion -- I had 20 just written in my own notes that the dentist 21 applicant could have any of the following. I don't 22 know whether that makes it any more clearer. They 23 wouldn't have to have all, but they could have any 24 of those.</p> <p>25 MRS. SHACKELFORD: They're "or's."</p> <p style="text-align: right;">Page 21</p>

<p>1 MR. BISHOP: Well, yeah. There is 2 "or's" between each one of them. So, yes, that 3 takes care of it, yes. 4 MS. BURCH: It does the same thing. 5 Okay. 6 DR. FORT: Okay. All in favor? 7 DR. RICH: Aye. 8 DR. E. PAPE: Oh, I'm sorry. I just 9 need -- 10 DR. MOORHEAD: Is it appropriate to ask 11 a question? 12 DR. FORT: I'll entertain, yes. 13 DR. MOORHEAD: Do you want to ask it? 14 DR. E. PAPE: Oh, me? 15 DR. MOORHEAD: Yeah. 16 DR. E. PAPE: I don't know if this is 17 the -- hi, Elisa Pape, periodontist. I'm on the KDA 18 sedation work force. 19 I don't know if this is the section that we 20 would need to put this in here, but I just want to 21 bring this up. As licensed dentists in the State of 22 Kentucky with hygienists in our office, we, as 23 dentists, are responsible to make sure that our 24 hygienists' licenses are current, active, and in 25 good standing.</p> <p style="text-align: right;">Page 22</p>	<p>1 are not to yet, then they don't fit that bill. 2 MR. BISHOP: That's exactly right. 3 DR. FORT: I mean, if you're not 4 licensed, you're no longer a -- you know, you are 5 only a dentist as long as you are -- 6 MR. BISHOP: And I want to be careful 7 for us not to put the dentist in the box of, if -- 8 let's say there's been a lapse in the CRNA license, 9 for whatever reason, and they administer those 10 services, I want that to be a CRNA Board of Nursing 11 problem, not a Kentucky Board of Dentistry dentist 12 problem; so -- 13 MRS. SHACKELFORD: Well, I think if you 14 are going to do that, you have to do it for the 15 anesthesiologist as well as the CRNA. 16 MR. BISHOP: Right. Everybody. 17 DR. FORT: Ms. Schuster. 18 MS. SCHUSTER: Sheila Schuster, with the 19 Kentucky Association of Nurse Anesthetists. 20 DR. FORT: See, it's hard to say, isn't 21 it? 22 MS. SCHUSTER: And I practiced for six 23 months before I started working with them. 24 Let's remember -- and this is where the 25 ambiguity came from originally -- that we're talking</p> <p style="text-align: right;">Page 24</p>
<p>1 So I feel like we need to have some verbiage 2 within this -- if we are to go with this -- in here 3 that would say that we need to make sure the CRNA 4 working in our office has an active, current license 5 that is in good standing with the Board of Nursing. 6 DR. RICH: I think that comes up in 21, 7 doesn't it? I mean, that -- 8 MR. BISHOP: Well, there are two things 9 we have to be very careful as we tread on here. 10 Number one, our laws are very specific, that 11 says, "that a hygienist may only work under a 12 dentist." And so we can make those transitions 13 fairly appropriately. I think if we can put some 14 language in there that could require a policy by 15 every dental office using an outside practitioner 16 that they have that. 17 But for us to make a rule, if you will, that 18 says they have to have that, kind of gets into that 19 murky area of treading on toes. What I'm talking 20 here is from board to board. I don't think it's a 21 bad idea as a matter of policy that every dentist 22 who utilizes any professional, do that. Do you have 23 any insights on that? 24 DR. FORT: If they're not currently 25 licensed -- down here under number three, which we</p> <p style="text-align: right;">Page 23</p>	<p>1 about training that the dentist gets. There's no 2 licensure here because there are no other 3 professionals here. CRNA education that is referred 4 to here does not refer to CRNAs as professionals. 5 This is all education that the dentist would 6 have to get in order to get the permit. So, you 7 know, I think the issue of licensure of another 8 profession may come up someplace else, but it would 9 not be under Section 9. 10 And that's why we suggested that you insert a 11 dentist applicant at the very outset up there 12 because it was so confusing about who was getting 13 the permit. Because when you first read it, it 14 looks like there's an anesthesiologist; then there's 15 two dental qualifications and no CRNA. So we are 16 talking about the dentist here getting the permit. 17 Thank you. 18 DR. FORT: So if a dentist -- to go on 19 and expand, if there was a CRNA that decided he 20 wanted to become a dentist, and he had completed 21 that education, or she -- he or she -- and then 22 became a licensed dentist, they would -- because of 23 that education, they would qualify as a sedation 24 dentist. 25 MR. BISHOP: And, actually, we do have</p> <p style="text-align: right;">Page 25</p>

<p>1 one of those in the state.</p> <p>2 MS. SCHUSTER: So it's not a</p> <p>3 hypothetical.</p> <p>4 MR. BISHOP: It is not a hypothetical.</p> <p>5 DR. FORT: Okay. A vote. A vote. All</p> <p>6 in favor?</p> <p>7 MR. FRANCIS: Aye.</p> <p>8 DR. FORT: Do you know what we are</p> <p>9 voting on?</p> <p>10 MS. BURCH: Yes.</p> <p>11 DR. FORT: Okay.</p> <p>12 DR. S. KING: Section 9.</p> <p>13 DR. FORT: All in favor?</p> <p>14 BOARD MEMBERS: Aye.</p> <p>15 DR. FORT: Any opposed?</p> <p>16 BOARD MEMBERS: (No response.)</p> <p>17 DR. FORT: Thank you. We will approve</p> <p>18 that portion of the comment.</p> <p>19 Now, we are going to move down to --</p> <p>20 Brian, I'm sorry. I jumped in on your toes</p> <p>21 there.</p> <p>22 MR. BISHOP: That's okay.</p> <p>23 DR. FORT: I am sorry. I can't answer</p> <p>24 your Words With Friends right now.</p> <p>25 MR. BISHOP: Really? You are so far</p> <p style="text-align: right;">Page 26</p>	<p>1 know.</p> <p>2 So earlier, we had a question of liability. I</p> <p>3 posed that very same question to Nathan Goldman</p> <p>4 because I wanted to know -- there are some specialty</p> <p>5 nurses that have to work only with a collaborative</p> <p>6 agreement with the physician. This is not one of</p> <p>7 those groups. They are as independent as anybody</p> <p>8 here.</p> <p>9 So obviously from a liability, standpoint -- if</p> <p>10 something goes wrong with sedation in your office</p> <p>11 and you are working with a CRNA, a good attorney is</p> <p>12 going to sue everybody in the room. Okay? But</p> <p>13 inevitably that liability runs to the licensed</p> <p>14 independent practitioner providing those services.</p> <p>15 Is that accurate?</p> <p>16 MR. GAITHER: That's correct.</p> <p>17 MR. BISHOP: Okay. So although</p> <p>18 everybody is probably going to get sued, it was the</p> <p>19 opinion of the general counsel at the Board of</p> <p>20 Nursing, their executive director -- and then when I</p> <p>21 spent some time talking with Ms. Schuster and John</p> <p>22 over the weekend, everybody seemed to be in</p> <p>23 agreement there. And I think that we are on solid</p> <p>24 legal footing, and Bill has looked at that.</p> <p>25 So, initially, the idea that I thought we had</p> <p style="text-align: right;">Page 28</p>
<p>1 behind now, you should probably go ahead and quit.</p> <p>2 I guess the next place for us to stop on the</p> <p>3 train is with Section 21. I wanted to let you know</p> <p>4 that when these questions starting popping up, I</p> <p>5 went ahead and went upstairs to the Board of</p> <p>6 Nursing. I tried to get some research because I was</p> <p>7 hearing a lot of CRNAs can't do this. They can only</p> <p>8 do it here. They can't work there.</p> <p>9 Nathan Goldman is the general counsel upstairs</p> <p>10 along -- and Charlotte Vinson is the executive</p> <p>11 director. Our regulations and the proposed changes</p> <p>12 that we're looking at are all perfectly in concert</p> <p>13 with what the Board of Nursing has promulgated</p> <p>14 through that regulatory process with the CRNAs.</p> <p>15 It is my understanding, from having talked to</p> <p>16 them, that a CRNA is as independent a practitioner</p> <p>17 as any license holder sitting in this room; that in</p> <p>18 a hospital setting, there is some permissive</p> <p>19 language where they can work in conjunction with an</p> <p>20 anesthesiologist, but that is not a mandate. That</p> <p>21 was all set up for rural providers when the enabling</p> <p>22 legislation and regulations were passed, I think.</p> <p>23 MR. GAITHER: Correct.</p> <p>24 MR. BISHOP: And you probably know this</p> <p>25 history better than I do, but I am giving you what I</p> <p style="text-align: right;">Page 27</p>	<p>1 and I think when Dr. Moorhead and I talked, we were</p> <p>2 saying the same thing and meaning two different</p> <p>3 things. He was saying that we wanted people to be</p> <p>4 able to practice at the level to which the dentist</p> <p>5 was permitted. And I was hearing him say we wanted</p> <p>6 people to practice at the level to which the</p> <p>7 facility was certified.</p> <p>8 We really have two different animals here. One</p> <p>9 is that Dr. Rich may take the education and training</p> <p>10 and become permitted to provide those services as</p> <p>11 they relate to anesthesia for his patients.</p> <p>12 The second critter is that the dentist who</p> <p>13 wants nothing to do with putting people out but</p> <p>14 wants to have those services available. And with</p> <p>15 that, we've created an animal in the regulation</p> <p>16 where you could have your facility permitted, where</p> <p>17 you have all the toys in the toy box appropriately,</p> <p>18 but you contract with a CRNA, an anesthesiologist,</p> <p>19 or another dentist who has had that appropriate</p> <p>20 training to come in and provide those services.</p> <p>21 Now, I want to be sure we understand there are</p> <p>22 two very distinct critters here.</p> <p>23 So when we look at Section 21 and Section 22, I</p> <p>24 think we are considering those comments in light of</p> <p>25 the second option. Okay? You have the facility</p> <p style="text-align: right;">Page 29</p>

<p>1 permit. Your facility has been inspected. You have 2 all of the toys in the toy box that are correct. 3 You are not providing those services. But on a 4 case-by-case basis, as you need it, you are bringing 5 in a CRNA, an anesthesiologist, or another dentist 6 to provide those services. 7 MR. BURCH: Can you put those back up? 8 The one with the red line. 9 MR. BISHOP: So as we consider where we 10 are going to go with Section 21 -- I think that's 11 what we have to decide is, do we want the dentist to 12 have to have the education at the same level as 13 people he is going to be contracting with, or do we 14 just want the dentist to be able to go, "Hey, I 15 don't want any part of that. I want to hire a 16 professional to come in who does that voodoo that 17 they do?" 18 MR. FRANCIS: Is the CRNA certified by 19 the state? 20 MR. BISHOP: A CRNA is licensed by the 21 Board of Nursing. 22 DR. S. KING: So I am going to ask you a 23 very simple question. And I just don't know the 24 answer to this. Are nurses permitted to diagnose? 25 MR. GAITHER: Under certain criteria, we</p> <p style="text-align: right;">Page 30</p>	<p>1 whether it's an anesthesiologist, a dentist who's 2 trained to provide the sedation, or a CRNA -- 3 recognizes a change in the rhythm strip or a drop in 4 the pulse oximetry -- or the pulse oximetry, you 5 also get a heart rhythm. You don't get an 6 interpretation, but you get a regularity of the 7 rhythm. 8 If, for some reason, that regularity changes 9 and goes from a regular rhythm to what's irregular, 10 that may be possibly an atrial fib, yes, we can 11 identify that and intervene in those situations. 12 MRS. SHACKELFORD: And if I could 13 interject to answer that question a little further. 14 I think that it all comes to the scope of practice 15 of your licensure category. 16 Physician assistants have to work in 17 conjunction with a physician. A nurse practitioner 18 does not. 19 A nurse practitioner can function and see 20 patients and diagnose patients and even prescribe 21 certain medications under the scope of practice of 22 their licensure without any collaboration with a 23 physician. So I think it depends on what the 24 licensure category of the professional that you are 25 working with is.</p> <p style="text-align: right;">Page 32</p>
<p>1 have nursing diagnoses. We don't practice medicine. 2 We practice nursing. So we don't pretend to be 3 doctors in any way, shape, form, or fashion. We're 4 nurses. 5 So we do not practice the art of medicine. We 6 do not diagnose certain medical conditions. We will 7 give an opinion about -- if a patient comes into 8 your office to have a procedure, and we think that 9 they have underlying medical conditions -- COPD, 10 CHF, certain things like that -- then we're going to 11 be trained and educated to help identify those types 12 of issues and make sure the patient is safe for the 13 anesthetic. However, we are not going to 14 specifically diagnose that condition, as a physician 15 provider. 16 DR. S. KING: Are there events that 17 occur during an anesthesia procedure which would 18 require diagnosis? 19 MR. GAITHER: Possibly. A good example, 20 it's just like -- you were talking about the 21 monitoring-type capabilities with the pulse oximetry 22 or with your rhythm monitoring devices, whether it's 23 an EKG, whether it's a monitoring defibrillator with 24 a three-lead strip. 25 If, during a procedure, the anesthetist --</p> <p style="text-align: right;">Page 31</p>	<p>1 So to pair it with what he is saying or expand 2 upon what Mr. Gaither just said is there are certain 3 diagnoses he can make because that's within the 4 scope of practice of his licence. He can't go 5 beyond that -- 6 MR. FRANCIS: That makes perfect sense. 7 MRS. SHACKELFORD: -- beyond what his 8 training is to diagnose things that are beyond his 9 scope of practice in his licensure category. But 10 certainly within his training, he is qualified to 11 make diagnoses and treat accordingly. 12 DR. FORT: So you can make diagnosis of 13 a seizure? 14 MR. GAITHER: Yes. 15 DR. FORT: And prescribe and actually 16 push antiseizure medication? 17 MR. GAITHER: Correct. 18 DR. ZENA: I have a comment I would like 19 to make. First off, I agree with -- 20 MRS. SHACKELFORD: Mrs. Shackelford. 21 DR. ZENA: -- with the attorney. For 22 clarity's sake, I like the language of what they've 23 put in here, but I think we need to interject 24 another word as the licensed certified registered 25 nurse anesthetist, rather than just certified</p> <p style="text-align: right;">Page 33</p>

<p>1 registered nurse. This way we know they're under 2 the jurisdiction of the Board of Nursing. 3 Somebody could be a certified registered nurse 4 anesthetist that's retired or whatever and decided 5 to moonlight in a dental office. So just for 6 clarity's sake and to make sure that everybody is 7 registered, we don't have to worry about that person 8 being licensed. We just interject the word 9 "license" in front of every one of those -- that 10 wordage that's as presented here. 11 MRS. SHACKELFORD: And we need to do 12 that with anesthesiologists -- 13 MR. BISHOP: Let's build on that. Why 14 don't we take paren one, if we are going to follow 15 what Dr. Zena has here or has proposed, and say "A 16 treating dentist who desires to allow a licensed 17 individual, whether it be a, one, physician 18 anesthesiologist, two, a certified registered nurse 19 anesthetist or, three, another dentist, who holds an 20 anesthesia or sedation permit to administer 21 anesthesia sedation of to a patient" -- blah, blah, 22 blah, blah. 23 That way we can enumerate them, and we can put 24 it in the beginning that all of them have to be 25 currently licensed.</p> <p style="text-align: right;">Page 34</p>	<p>1 MS. BURCH: Thank you. 2 MR. GAITHER: We do in some settings. 3 But across the board, if you look at most of the 4 practice settings -- CRNAs work -- and as I said 5 earlier, in a variety of states, we will follow the 6 state's guidelines for that particular facility. If 7 the state says that you have to have certain 8 monitoring devices for this type of procedure in 9 your facility, then we follow those guidelines. 10 So if it says we do not need to have that, if 11 it's a little bit of Versed for a procedure or 12 something like that, then we're not going to do 13 that. We are not going to monitor that. We 14 wouldn't have to have the monitor for that. 15 So if that answers your question -- I hope it 16 does. We do in some situations, most settings, but 17 not all. 18 DR. FORT: Dr. Moorhead. 19 DR. MOORHEAD: But to clarify, would you 20 say that if you were going to do deep sedation, you 21 would definitely have a -- at least a three-lead -- 22 a rhythm strip, three-lead EKG? But if you were 23 doing moderate sedation, you would not necessarily 24 have it? 25 MR. GAITHER: If the facility -- if that</p> <p style="text-align: right;">Page 36</p>
<p>1 MR. FRANCIS: I think we can just move 2 it back to the board that they fall under their 3 jurisdiction. 4 MR. BISHOP: Yeah. 5 DR. FORT: Does that work? Are we 6 allowed to do that? 7 MR. BISHOP: Yeah, we can do that. 8 DR. FORT: I mean, because we are 9 accepting and rejecting comments -- I mean -- 10 MR. FRANCIS: That's modifying. 11 MR. BISHOP: Well, if they would be so 12 inclined to -- actually, with the comments you can 13 kind of modify it the way you want it, but they may 14 be willing to say, yes, we would like -- that's the 15 language that we would like or -- now, we'll know 16 what he'll know. I mean, that's why Mr. Gaither is 17 here; so -- 18 DR. FORT: Mr. Gaither, do you have a 19 comment? 20 MR. GAITHER: No, sir. We do not. 21 DR. FORT: Yes, ma'am. Feel free. 22 MS. BURCH: Okay. I -- just out of 23 curiosity or my own education, do most CRNAs sedate 24 patients without an EKG? 25 MR. GAITHER: No.</p> <p style="text-align: right;">Page 35</p>	<p>1 was part of the facility's guidelines, we would 2 follow whatever the facility guideline says. 3 Personally, my comfort level is, if I am doing deep 4 sedation, yes, I would prefer to have that 5 monitoring device in place. Yes, sir. 6 DR. MOORHEAD: But for moderate? 7 MR. GAITHER: Moderate -- it would be 8 nice, but, again, we fall back -- if we had a pulse 9 oximeter, we might be able to go with that. 10 DR. ROBINSON: But it also depended on 11 the condition of the patient, though. 12 MR. GAITHER: Yes, it would definitely 13 depend on the condition of the patient. 14 DR. HARGAN: My only question was -- 15 DR. FORT: Name? 16 DR. HARGAN: -- but I think it just got 17 answered. 18 THE COURT REPORTER: Wait. Wait. I 19 need your name. 20 DR. HARGAN: I'm sorry. I'm 21 James Hargan. I am a private practice oral and 22 maxillofacial surgeon. I am representing myself. 23 But my question was going to be -- you know, if 24 there were no regulations and you had your box with 25 you, would you leave your EKG at home, if you were</p> <p style="text-align: right;">Page 37</p>

<p>1 going to go out to facility and do some work?</p> <p>2 MR. GAITHER: No.</p> <p>3 DR. HARGAN: That was my only question.</p> <p>4 MR. GAITHER: No. Our national</p> <p>5 organization, the AANA, provides very specific</p> <p>6 practice, policy guidelines for office-based</p> <p>7 anesthesia procedures.</p> <p>8 DR. HARGAN: As does ours.</p> <p>9 MR. GAITHER: Yes. And it's, like I</p> <p>10 say, patient safety is always a key issue. I don't</p> <p>11 think you are going to find any CRNA that wants to</p> <p>12 get themselves -- or any anesthesia provider, CRNA,</p> <p>13 anesthesiologist, licensed dentist -- that wants to</p> <p>14 get themselves in any kind of problem with the</p> <p>15 patient. So I think we are going to use the</p> <p>16 monitoring and sedation technique that we are</p> <p>17 comfortable with using. I don't think we are going</p> <p>18 to do anything that's unsafe.</p> <p>19 DR. FORT: I know you're not.</p> <p>20 MR. GAITHER: Especially when you're</p> <p>21 watching us.</p> <p>22 DR. RICH: My comments -- I'm pretty</p> <p>23 happy with the Section 21 as he has written it out.</p> <p>24 On part three down there is the only place I</p> <p>25 have a comment. Where it says, "in an ambulatory</p> <p style="text-align: right;">Page 38</p>	<p>1 MR. BISHOP: No, no, no. Parenthesis</p> <p>2 three just makes it more inclusive.</p> <p>3 DR. FORT: Where are you going with</p> <p>4 this? Because in number two, it says, "the facility</p> <p>5 of a treating dentist." Are you trying to expand</p> <p>6 that, like, to a facility, you know, like Joe's</p> <p>7 Sedation Clinic that's -- you know, and you can go</p> <p>8 there, or are you trying to get --</p> <p>9 DR. RICH: Well, I think that's covered</p> <p>10 as an ambulatory care center. Yes or no?</p> <p>11 DR. FORT: Well, I mean, I don't know.</p> <p>12 Is that what you're asking?</p> <p>13 DR. RICH: Well, I --</p> <p>14 DR. FORT: Like, if you've got the</p> <p>15 facility -- if Adam Rich has got the facility, can I</p> <p>16 go there?</p> <p>17 DR. RICH: That's what I was going to</p> <p>18 say.</p> <p>19 DR. FORT: But that's -- yeah. And is</p> <p>20 the way this is written, is it that way?</p> <p>21 MS. SCHUSTER: But, again, my question</p> <p>22 is: Doesn't Section 9 cover that? Isn't that what</p> <p>23 this board wants to do, is to control the permit</p> <p>24 level of the dentists' offices?</p> <p>25 DR. FORT: We want to control permit</p> <p style="text-align: right;">Page 40</p>
<p>1 care center or hospital," can we expand that to say,</p> <p>2 "any facility certified to deliver administration of</p> <p>3 deep or general anesthesia" or something along that</p> <p>4 line -- as long as it is a facility, not just</p> <p>5 ambulatory care center or hospital, but any facility</p> <p>6 that is up to snuff with our guidelines as far as</p> <p>7 what's required?</p> <p>8 MR. BISHOP: I can't think of a problem.</p> <p>9 Can you think of any?</p> <p>10 MR. GAITHER: Office-based anesthesia</p> <p>11 practice that may not be certified as an ambulatory</p> <p>12 surgical center. There are a lot of providers that</p> <p>13 do office-based only.</p> <p>14 MS. SCHUSTER: But isn't that what this</p> <p>15 board is trying to issue permits for?</p> <p>16 MR. BISHOP: Yeah. But we'll be</p> <p>17 permitting the ones that aren't in an ambulatory</p> <p>18 care center or hospital. I think what we wanted to</p> <p>19 be sure that included with parenthesis three was</p> <p>20 that dentists can go to a hospital or an ambulatory</p> <p>21 care center and do that. The facility permitting</p> <p>22 section of the regulation allows for them to do it</p> <p>23 in their office, if they are permitted.</p> <p>24 DR. RICH: Okay. So it doesn't disclude</p> <p>25 (phonetic) that?</p> <p style="text-align: right;">Page 39</p>	<p>1 level of the facilities certainly.</p> <p>2 MS. SCHUSTER: Okay.</p> <p>3 DR. FORT: And the facility is tied to</p> <p>4 the dentist that owns it, but in number two that you</p> <p>5 have presented in the comment -- and I am just</p> <p>6 trying to decide if -- where we want to go with</p> <p>7 this.</p> <p>8 Do we want to be able to do -- do I, as a</p> <p>9 practicing dentist, who has no sedation -- I don't</p> <p>10 have any dreams of doing sedation -- could I go to a</p> <p>11 permitted facility, not an ambulatory care, not a</p> <p>12 hospital, but a permitted facility, take you with me</p> <p>13 and perform dentistry while you have a patient</p> <p>14 sedated?</p> <p>15 DR. RICH: Or even another doctor's</p> <p>16 office? I mean --</p> <p>17 DR. FORT: Another dentist's office.</p> <p>18 DR. RICH: Another dentist's office,</p> <p>19 yeah.</p> <p>20 DR. FORT: Yes. Yes, that's the</p> <p>21 question --</p> <p>22 DR. RICH: Someone else's dental office.</p> <p>23 DR. FORT: Is that where we want to be?</p> <p>24 MRS. SHACKELFORD: Isn't that covered</p> <p>25 under Section 1 of that Section 21?</p> <p style="text-align: right;">Page 41</p>

<p>1 DR. FORT: Is it?</p> <p>2 MRS. SHACKELFORD: Well, it's a specific</p> <p>3 practice location which complies with --</p> <p>4 MR. GAITHER: Section 9.</p> <p>5 MRS. SHACKELFORD: -- Section 9.</p> <p>6 MR. BISHOP: I think you're right.</p> <p>7 MRS. SHACKELFORD: My understanding</p> <p>8 about number three was that was just to clarify that</p> <p>9 the dental board could not in any way regulate an</p> <p>10 ambulatory care center or a hospital. But only --</p> <p>11 the only thing that the dental board can regulate is</p> <p>12 dental practices. That was my understanding of why</p> <p>13 23 is in there.</p> <p>14 DR. FORT: I agree with that.</p> <p>15 And Bill brings up a point that with me, if I</p> <p>16 want to go to Adam's place and work, I have to</p> <p>17 register with the board, that that's a place that</p> <p>18 I'm going to go.</p> <p>19 MR. BISHOP: Right. Okay.</p> <p>20 MR. BAUSCH: That's covered under our</p> <p>21 general rule but --</p> <p>22 DR. FORT: That's under general rules,</p> <p>23 but I would probably have missed that.</p> <p>24 MR. BISHOP: Yeah. Okay.</p> <p>25 MR. GAITHER: And on the other hand, as</p> <p style="text-align: right;">Page 42</p>	<p>1 facility -- I cannot just follow you over there and</p> <p>2 do the services without Dr. Rich's permission.</p> <p>3 Dr. Rich would have to allow you to allow me to do</p> <p>4 those services at his facility.</p> <p>5 DR. FORT: Right.</p> <p>6 MR. BAUSCH: And without Dr. Rich's</p> <p>7 facility being in compliance with the needs of the</p> <p>8 sedation that you're doing.</p> <p>9 MR. GAITHER: Correct.</p> <p>10 DR. RICH: And Dr. Rich does not have to</p> <p>11 be present?</p> <p>12 MR. GAITHER: No.</p> <p>13 DR. ZENA: I have a question for you in</p> <p>14 that regard. Are you required by the Board of</p> <p>15 Nursing -- you're licensed -- you can go to a</p> <p>16 dentist's office that alleges that they have a</p> <p>17 permit for their facility to do general anesthesia,</p> <p>18 do you have to have them produce documentation to</p> <p>19 that effect, or is it your responsibility to see</p> <p>20 that they really have it, or you just --</p> <p>21 MR. GAITHER: It's my responsibility.</p> <p>22 The Board of Nursing does not require me to do</p> <p>23 that; however, it's my responsibility as a provider</p> <p>24 to make sure that if I'm going to practice at that</p> <p>25 facility giving different levels of sedation or</p> <p style="text-align: right;">Page 44</p>
<p>1 an anesthesia provider, whether it's CRNA or other</p> <p>2 provider, you would have to have credentialing</p> <p>3 agreement with that individual facility before --</p> <p>4 you couldn't just take me as your personal CRNA to</p> <p>5 where -- whoever's facility. I would have to be</p> <p>6 credentialed, licensed to practice at that</p> <p>7 individual facility.</p> <p>8 MR. BISHOP: By the Board of Nursing.</p> <p>9 MS. BURCH: Through the Nursing Board.</p> <p>10 MR. FRANCIS: By the Board of Nursing.</p> <p>11 MR. GAITHER: Well, I would be licensed</p> <p>12 by the Board of Nursing to provide care; however,</p> <p>13 the individual facility would have to credential me</p> <p>14 to be able to provide services at that facility.</p> <p>15 MR. FRANCIS: Oh.</p> <p>16 DR. FORT: That's under number three,</p> <p>17 but in an individual dentist office, you're not</p> <p>18 going to get -- you're credentialed only by your</p> <p>19 certificate.</p> <p>20 MR. GAITHER: Correct. But you're</p> <p>21 allowing me to come into your practice.</p> <p>22 Say, for instance, that if you hire me to do</p> <p>23 dental services in your office and you have a</p> <p>24 patient that you would like to take over to</p> <p>25 Dr. Rich's office to do it, Dr. Rich, since it's his</p> <p style="text-align: right;">Page 43</p>	<p>1 anesthesia, I have to be aware that that facility</p> <p>2 has the credentials and the equipment available, and</p> <p>3 the facility itself is --</p> <p>4 DR. ZENA: By law or just by your fear</p> <p>5 of malpractice -- your malpractice insurance</p> <p>6 carrier?</p> <p>7 MR. GAITHER: I can lose my license. If</p> <p>8 the board finds out that I'm practicing at a</p> <p>9 facility, I can lose my license.</p> <p>10 DR. ZENA: So that's already been</p> <p>11 specified by the Board of Nursing anyway. Okay.</p> <p>12 MR. BAUSCH: And, Dr. Zena, I think</p> <p>13 you're getting to the issue of the board's oversight</p> <p>14 of a dental office in order to be capable of</p> <p>15 providing that type of sedation. In order to be</p> <p>16 able to look at the -- and the board's jurisdiction</p> <p>17 over the dentist, having the board --</p> <p>18 DR. ZENA: "The board," meaning the</p> <p>19 dental board.</p> <p>20 MR. BAUSCH: -- the dental board. He</p> <p>21 might get in trouble with the nursing, but in order</p> <p>22 for the dental board to oversee the facility and</p> <p>23 inspect and make sure, that's where we're heading --</p> <p>24 we're looking at facility inspection. That way if</p> <p>25 the facility is not up to speed, somehow he misses</p> <p style="text-align: right;">Page 45</p>

<p>1 it, the dentist still has responsibility for what 2 occurs in their office.</p> <p>3 DR. FORT: I was getting ready to ask 4 for this -- go ahead.</p> <p>5 DR. R. PAPE: Rich Pape, dentist 6 practicing in Kentucky in Louisville, and is on the 7 KDA work group.</p> <p>8 Since we're talking about facility inspection, 9 one of the things that I just thought of today that 10 we didn't even really consider in terms of itinerant 11 anesthesia, it doesn't matter whether it's a nurse, 12 physician, or another dentist, we kind of assumed -- 13 in the old regs -- kind of assumed that you just had 14 everything set in place, but people who practice 15 itinerant anesthesia tend to pick up their stuff and 16 leave.</p> <p>17 So they bring in their monitor. They bring in 18 their drugs. It's usually not a set place. So one 19 of the things you're going to have to consider when 20 you're finalizing these regs, in terms of 21 inspection, is that you're going to have to inspect 22 an office. It's going to be scheduled. And the 23 CRNA or dentist or whoever is applying anesthesia 24 will have to be there as well.</p> <p>25 Dr. Pointer or whoever is not going to be able</p> <p style="text-align: right;">Page 46</p>	<p>1 going to have sedation that everybody is in the 2 right place; so -- and that's going to be more on it 3 from a complaint-driven scenario because we do get 4 complaints on sedation occasionally. And when we go 5 in and look at that facility -- you know, who had 6 the toys at the time.</p> <p>7 DR. S. KING: So what happens if you 8 bring an equipment box that has this inventory, and 9 the next nurse anesthetist has a different set of 10 equipment?</p> <p>11 MR. BISHOP: Well, what I hear him 12 saying is, though, they're going to, for lack of a 13 better term -- they're going to bow to whatever our 14 regulatory standard is for that facility. So if we 15 require two pink ones and three brown ones, he's 16 going to have two pink ones and three brown ones --</p> <p>17 DR. FORT: I guess I was under the 18 impression that -- and Bob brings up a good point -- 19 but I was under the impression that that facility 20 was equipped, you know, all the time.</p> <p>21 Yes, ma'am.</p> <p>22 DR. E. PAPE: Elisa Pape, KDA Sedation 23 Work Force.</p> <p>24 At that point, would the board possibly 25 consider having two different facility inspection</p> <p style="text-align: right;">Page 48</p>
<p>1 to do a surprise inspection because aside from 2 looking at the size of the operatory and making sure 3 all the gas lines are there, all the other equipment 4 may be gone if they're practicing itinerate 5 anesthesia. So just something to consider.</p> <p>6 DR. FORT: I guess what I understand 7 is -- to certify, everything has to be there.</p> <p>8 MR. BISHOP: Well, the answer to both of 9 your comments is yes. I mean, Dr. Pape is right in 10 that when the dentist calls to schedule their 11 facility inspection, for their facility permit, then 12 the CRNAs would bring all their -- could bring all 13 their toys in, have it all set up. We go in and do 14 the inspection. Yes, you had everything you need. 15 Subsequent inspections would have to -- we would 16 have to know at that time who owns the toy box, you 17 know.</p> <p>18 DR. ZENA: What difference does it make 19 if the toys aren't there, if the patient is not 20 there?</p> <p>21 MR. BISHOP: Well, that's exactly right. 22 So long as there is not a sedated patient there, the 23 toys can be anywhere.</p> <p>24 But we just have to know that when there is a 25 patient that would be eligible for sedation, we're</p> <p style="text-align: right;">Page 47</p>	<p>1 levels or two different facility permits? One for a 2 standing facility, and one for the mobile 3 anesthesiologist?</p> <p>4 MS. BURCH: Would it make a difference 5 to the patient which one it was? I mean, we're 6 looking at the safety of the patient. It seems like 7 they'd have to --</p> <p>8 DR. E. PAPE: No, because you're still 9 going to have two red ones and two red ones over 10 here. And two blue ones and two -- you just know 11 that this one that's going to be a -- the mobile, 12 that would have to be a scheduled inspection you 13 would not be able to do. I'm just making 14 suggestions.</p> <p>15 MR. BAUSCH: We just had an inspection 16 where -- it's been a little while back so nobody 17 knows who it is. But the patient was sedated, but 18 there was no reversal drugs on the premises, and so 19 we did do a surprise inspection. So, you know, 20 those cases do come up. So the standards of what's 21 there at the time of sedation --</p> <p>22 MRS. SHACKELFORD: I think you're 23 violating your facility license if you don't have 24 the equipment that you've said that you were going 25 to have when the initial inspection was done.</p> <p style="text-align: right;">Page 49</p>

<p>1 That's -- I think that's more of an issue of 2 violating the license that you have. Once you've 3 got the license, you're certifying that you will 4 have the equipment that is present at the time that 5 you get your license every time you sedate a 6 patient.</p> <p>7 DR. FORT: Yeah.</p> <p>8 Dr. Moorhead, I'd like to invite you -- you 9 have -- when -- to comment on the dentist -- I think 10 in your proposed regulation, which we're -- it's 11 intertwined, but I would like to invite you to 12 comment on -- about the dentist level of sedation. 13 It's -- that's the first part that Brian talked 14 about, but I believe this is intertwined as far as 15 the facility and the dentist level -- the dentist 16 certificate of -- I don't even know how to say it.</p> <p>17 DR. MOORHEAD: Certification.</p> <p>18 DR. FORT: Certification for level of 19 sedation.</p> <p>20 Do you follow my question?</p> <p>21 DR. MOORHEAD: I do.</p> <p>22 DR. FORT: Okay. Thank you.</p> <p>23 DR. MOORHEAD: Our concern was primarily 24 out of liability, which is not a regulatory issue.</p> <p>25 DR. FORT: Okay.</p> <p style="text-align: right;">Page 50</p>	<p>1 DR. FORT: Okay.</p> <p>2 MR. BISHOP: Where is -- what are we on 3 motion-wise? Is there a motion pending?</p> <p>4 MS. TURNER: No.</p> <p>5 DR. R. PAPE: Can I make one more 6 comment?</p> <p>7 DR. FORT: Certainly.</p> <p>8 DR. R. PAPE: And Rich Pape, again, 9 dentist practicing in Kentucky.</p> <p>10 In response to Ms. Shackelford's last comment, 11 it depends what you're doing as why you might want 12 to have somebody else practicing anesthesia.</p> <p>13 So, for example, if I'm doing orthognathic 14 surgery in my office, which is -- orthognathic 15 surgery is the repositioning of people's jaws, 16 typically you would have somebody else come in and 17 provide the sedation, whether that's another 18 physician, a nurse, so that's an example of a very 19 extensive procedure.</p> <p>20 You may want to be focused on the surgery. 21 That would be one example.</p> <p>22 MR. FRANCIS: So what are we 23 considering?</p> <p>24 DR. FORT: Go ahead, Brian.</p> <p>25 MR. BISHOP: I don't want us to get too</p> <p style="text-align: right;">Page 52</p>
<p>1 DR. MOORHEAD: But we've also seen other 2 states follow the same pattern where a dentist can 3 only have a CRNA do things to the level that the 4 dentist is permitted.</p> <p>5 DR. FORT: Okay. And so -- I mean, 6 that's -- that seems to be intertwined to me with 7 the discussion we're having now.</p> <p>8 DR. MOORHEAD: However, when we're 9 looking at other states and what they do, they have 10 different regulations. We have to look at Kentucky 11 regulations, and that's what you-all have been 12 discussing, and that's what's appropriate.</p> <p>13 DR. FORT: Okay.</p> <p>14 MRS. SHACKELFORD: Well, my comment to 15 that would be that if you require the dentist to 16 have the same level of education as a CRNA or 17 anesthesiologist, why do they need the CRNA or the 18 anesthesiologist?</p> <p>19 DR. RICH: Exactly right.</p> <p>20 MR. FRANCIS: Call for the question, 21 Mr. President.</p> <p>22 DR. FORT: Huh?</p> <p>23 MR. FRANCIS: Call for the question.</p> <p>24 Let's vote on this thing.</p> <p>25 DR. RICH: You can call.</p> <p style="text-align: right;">Page 51</p>	<p>1 far away from where we were with respect to this 2 comment over here we were originally discussing.</p> <p>3 But if you'll look in the regulation that I 4 handed out this morning that says, "filed version," 5 on page 12, lines 14, 15, and 16. It says, "To 6 qualify for an anesthesia sedation facility 7 certificate, the facility shall pass an evaluation 8 of facility equipment, medications and clinical 9 records to include at least the following." And 10 then it lists a laundry list of things that you have 11 to have.</p> <p>12 Dr. Robinson and I were just having a 13 sidebar -- well, what does facility equipment mean. 14 I think if we want to tighten that up or if we want 15 to change that language, we had better change that 16 language because maybe I've been a bureaucrat for 17 too long, but I can read that to say either way.</p> <p>18 That is the equipment that belongs to the 19 facility or that it is the equipment that the 20 facility uses whenever they're doing sedation 21 patients. So if the board has a position on it, you 22 want to make a strong stance one way or the other. 23 I think we better fix that because I can -- I can 24 argue both sides against the middle.</p> <p>25 DR. RICH: I think the only time it</p> <p style="text-align: right;">Page 53</p>

<p>1 needs to be there is when the sedation is being 2 administered.</p> <p>3 DR. ZENA: Yeah, I agree.</p> <p>4 DR. S. KING: I disagree.</p> <p>5 I mean, I think that the facility ought to 6 maintain a minimum level of equipment at all times, 7 and it should be present at all times.</p> <p>8 Now, if you have certain things -- if you 9 prefer a certain kind of EKG machine and you want to 10 bring it with you, and that is this -- it is 11 equivalent or better, then I would say that's 12 reasonable and appropriate. But for those basic 13 things not to be present in the office is 14 problematic for the facility, and I think it should 15 be there at all times. That's just my opinion.</p> <p>16 DR. MOORHEAD: Susan, would you please 17 explain why. Please explain why.</p> <p>18 DR. S. KING: Why do I think that?</p> <p>19 DR. MOORHEAD: Yes.</p> <p>20 DR. S. KING: If, for example, the 21 facility is supposed to maintain oxygen, and let's 22 say he brings a faulty, you know, portable oxygen 23 unit -- I think there has to be a basic level of 24 protection for the patient.</p> <p>25 So I think transporting things that may or may</p> <p style="text-align: right;">Page 54</p>	<p>1 regulatory minimum and has maintained that from the 2 time you got your facility inspection, because if 3 not, you're going to have -- sooner or later, there 4 will be a case before the Law Enforcement Committee 5 that says, you know, he didn't have Romazicon with 6 him when he came that day or it was out of date or 7 his backup lighting unit --</p> <p>8 DR. RICH: I think it would be a lot 9 less likely to be out of date if he brought it with 10 him and was doing sedation cases constantly than if 11 there was somebody coming to my office doing a 12 sedation case once a month.</p> <p>13 MRS. SHACKELFORD: And I think from a 14 cost perspective to require dentists to have that -- 15 all that equipment on staff themselves versus having 16 the CRNA who uses it every day bring it, I just see 17 that as potentially a little bit burdensome to 18 require the dentist to fit up their office.</p> <p>19 MS. BURCH: Here's a question I had. If 20 a patient was treated --</p> <p>21 DR. FORT: Well, we -- well, I'm sorry.</p> <p>22 MS. BURCH: I'm sorry. If a patient was 23 treated and then you think you're finished and you 24 go on and you leave and go to your next office or 25 you're finished for the day and you go home, what</p> <p style="text-align: right;">Page 56</p>
<p>1 not be functional -- if you say that you were going 2 to have these things in your office, they need to be 3 there.</p> <p>4 DR. MOORHEAD: Like reversal drugs or 5 pulse oximeter?</p> <p>6 DR. S. KING: I think they should be 7 there if you are having your facility inspected and 8 maintained as a facility.</p> <p>9 MR. BISHOP: I will say this from a 10 regulatory standpoint. We permit the facility 11 through the dentist's license. If there's something 12 wrong with that facility, from a Board of Dentistry 13 standpoint, from a law enforcement standpoint, 14 that's going to run to the license of the dentist.</p> <p>15 So if we make this language read to where 16 Mr. Gaither can bring his own toy box, let's say, 17 and something goes wrong, that -- we then have an 18 issue where the dentist is responsible to the Board 19 of Dentistry because that facility certificate said 20 all the stuff was there.</p> <p>21 And if we don't care who it comes from, then 22 every dentist in the Commonwealth would need to be 23 aware that before they start a sedation case, they 24 should probably have somebody inventory everything 25 Mr. Gaither brings to make sure he is meeting this</p> <p style="text-align: right;">Page 55</p>	<p>1 happens if there is a complication for that patient, 2 and they come back to that facility and the 3 equipment is not there anymore because you've 4 already gone?</p> <p>5 You thought your job was finished, and you 6 left. The dentist that's there to cover that 7 post-op problem may not have what he or she needs.</p> <p>8 MR. BISHOP: Well, the dentist that was 9 there wouldn't be able to utilize it anyway, though, 10 in that particular scenario. If they're not --</p> <p>11 MS. BURCH: They're not trained.</p> <p>12 MR. BISHOP: If the dentist and facility 13 are not licensed for sedation --</p> <p>14 DR. RICH: The provider's instruction 15 should include ER.</p> <p>16 MR. BISHOP: And the reg does say that 17 the patient can't leave until they're able to 18 meet -- you know, they're conscious, can walk on 19 their own, blah, blah, blah.</p> <p>20 DR. FORT: I guess, thinking about the 21 Law Enforcement Committee standard, you know -- 22 you're going to come back to this case and you're 23 going to be the he said/she said thing, and you're 24 really only as good as your last inspection anyway.</p> <p>25 But it's, like, well, yeah, he had all that</p> <p style="text-align: right;">Page 57</p>

<p>1 stuff, you know. And, yeah, he had all the current 2 drugs, and everything was left there. And you 3 really can't -- really, I mean -- 4 MR. FRANCIS: I mean, the way you make 5 it sound -- I mean, you make it sound like these 6 guys are almost incompetent. You know, if they're 7 going to come inside to do a procedure, I don't see 8 how they're going to just leave without making sure 9 everything is -- 10 MS. BURCH: I didn't mean to -- I was 11 just worried about when you're gone and a patient 12 comes back and needs something that's not there. 13 MR. FRANCIS: The person that's 14 certified will do on what needs to be done, or I 15 will take for granted that they would do what -- 16 follow the proper procedures that is standard and 17 get it accomplished. So I don't think that's a 18 concern, you know, from one profession to another. 19 MRS. SHACKELFORD: And I think you ran 20 into the same problem in an ambulatory care center. 21 So I go get tubes put in my ears, and they release 22 me. And then I have a problem at 7:00, and the 23 center is closed. I can't go back to the center 24 because they're not -- I would go to the ER or seek 25 treatment at some other facility.</p> <p style="text-align: right;">Page 58</p>	<p>1 deal with 21 and we come back and we revisit -- 2 MR. BISHOP: 13. 3 DR. FORT: Okay. 4 MS. TURNER: Before you motion -- 5 MR. BISHOP: I don't know that we've got 6 those yet. 7 MS. TURNER: Okay. But when you motion 8 it -- 9 DR. RICH: And at this point -- we don't 10 have a motion. But I think at this point, the only 11 thing we were going to put in there was a licensed 12 individual. Everything else -- I haven't heard any 13 comment that anyone was -- 14 MR. BISHOP: What I scribbled down here 15 was a treating dentist who desires to allow a 16 currently licensed -- and then that list: 17 physician, anesthesiologist, certified registered 18 nurse anesthetist or another dentist. 19 DR. RICH: I'll motion as such. 20 MR. FRANCIS: And I second it. 21 DR. FORT: Okay. Any addition -- and so 22 we're going to -- 23 MR. BISHOP: Are you just doing 24 parenthesis one, or are you taking everything that 25 they provided for Section 21? I just want to be</p> <p style="text-align: right;">Page 60</p>
<p>1 I think it's the same thing. You don't release 2 the patient until the patient meets the criteria 3 that you have determined it's safe for the patient 4 to be released. The anesthesia provider needs to be 5 onsite until that patient meets that criteria and 6 can be released. 7 MR. FRANCIS: Well, is that what you 8 did? 9 MR. GAITHER: Yes. 10 MR. FRANCIS: Would you just leave, play 11 golf? Professionally -- 12 MRS. SHACKELFORD: Well, you don't even 13 have to go play golf. 14 MS. BURCH: Well, what about if they 15 have -- 16 DR. FORT: Well, we are also talking 17 about not only facilities that CRNAs come to; we're 18 talking about facilities that people -- that 19 sedating dentists practice out of, also. 20 DR. RICH: Okay. 21 DR. FORT: So -- 22 DR. RICH: I didn't mean to interrupt 23 you. 24 DR. FORT: Sure. I was done. 25 DR. RICH: Okay. I say we go ahead and</p> <p style="text-align: right;">Page 59</p>	<p>1 sure I'm clear. 2 MR. FRANCIS: I'll second it. 3 DR. RICH: Section 21. 4 MR. BISHOP: Okay. Section 21. Okay. 5 DR. FORT: Okay. You got that, Lisa? 6 MS. TURNER: Well, I will now that we 7 have the court reporter. But, yeah. I'm with you. 8 MS. BURCH: So we're not having to put 9 licensed in front of the second paragraph where it 10 says or certified registered -- we're not putting 11 licensed in front of each of those that's covered 12 under the first paragraph? 13 MR. BISHOP: In the preamble. 14 DR. FORT: In the preamble -- yeah. 15 MS. BURCH: Okay. 16 DR. FORT: Okay. Any additional 17 discussion? All in favor of accepting that 18 comment -- that modified comment -- aye. 19 BOARD MEMBERS: Aye. 20 DR. FORT: Any opposed? 21 BOARD MEMBERS: (No response.) 22 DR. FORT: Okay. Comment number -- 23 comment referring to Section 22, which basically 24 would be a repetition of this, of what we just put 25 in 21. Is there any additional commentary on that</p> <p style="text-align: right;">Page 61</p>

<p>1 for discussion -- I'm sorry. We've got the comment. 2 DR. RICH: I almost amended my motion to 3 include that. 4 DR. FORT: I did, too, but I didn't want 5 to do it. 6 Okay. Do I have a motion to accept that 7 comment? 8 MS. BURCH: So in 22, we're deleting -- 9 DR. FORT: We delete all of Section -- 10 MS. BURCH: -- we're deleting this? 11 DR. FORT: Because that -- because it 12 throws up into 21. 13 Okay. Is there a motion for that, Adam? 14 DR. RICH: Certainly. 15 DR. FORT: And there's a second? 16 MR. FRANCIS: Yes, there is. 17 DR. FORT: Allan, I thought there would 18 be. 19 Any additional discussion? 20 BOARD MEMBERS: (No response.) 21 DR. FORT: All in favor? 22 BOARD MEMBERS: Aye. 23 DR. FORT: Any opposed? 24 BOARD MEMBERS: (No response.) 25 DR. FORT: All right. The final</p> <p style="text-align: right;">Page 62</p>	<p>1 MR. BISHOP: Yeah. Let's take now to 2 look at Section 13. 3 DR. FORT: Okay. 4 MR. BISHOP: Yeah. Let's do that. 5 DR. FORT: Okay. Are you dancing? 6 Let's just take a small break so we can review this. 7 MS. TURNER: Dr. Fort, just to let you 8 know, the court reporter has to leave at 1:00. 9 DR. FORT: Okay. Brian? 10 MR. BURCH: We're on track. We're good. 11 MS. TURNER: I just wanted to remind 12 you. 13 DR. FORT: Okay. 14 (OFF THE RECORD.) 15 (BACK ON THE RECORD.) 16 MR. BISHOP: All right. In the interim, 17 the next item we wanted to discuss was Section 13 on 18 page 12. 19 There has been a proposal made that I think 20 kind of fits all of our niches. We're just going to 21 have to go through this list real quick. 22 How about we consider splitting this list up 23 into things that the facility must have and things 24 that the facility would be allowed to have the 25 provider of anesthesia services bring. And in</p> <p style="text-align: right;">Page 64</p>
<p>1 comment -- or maybe it's the final one, Brian. 2 MR. BISHOP: Okay. I think at this -- 3 Mrs. Shackelford, from your comments and what we've 4 just done with their comments -- "their," being the 5 CRNAs -- are we okay with your stuff then? 6 MRS. SHACKELFORD: (Nods head yes.) 7 MR. BISHOP: Anything else you'd like to 8 add or have us discuss related to the comments 9 you've provided? 10 MRS. SHACKELFORD: (Nods head no.) 11 MR. BISHOP: Okay. So then -- 12 DR. FORT: We will motion to reject. 13 MR. BISHOP: Well, we -- no, we'll take 14 no action. 15 DR. FORT: No action. Okay. 16 MR. BISHOP: It would just be best to 17 take no action because they were incorporated in 18 what we had done. 19 MRS. SHACKELFORD: Yeah, don't reject 20 me. Geez. 21 MR. BISHOP: Is there anything -- 22 DR. MOORHEAD: May I suggest before you 23 look at the other things that you addressed in 24 facility in Section 13 to do with -- 25 DR. FORT: Yes.</p> <p style="text-align: right;">Page 63</p>	<p>1 addition to that, if they were going to allow the 2 CRNAs to bring some of the stuff -- monitors, drugs, 3 some of those things -- prior to the beginning of a 4 sedation case, the dentist and the individual 5 providing anesthesia services would check off a 6 checklist of what was -- who had what, basically. 7 So if -- Mr. Gaither, since you're here, I'm 8 going to just keep picking on you. That's just kind 9 of the way I work. Sheila will tell you. For 10 drugs, let's say, Romazicon, Versed, Epi, Lidocaine. 11 I don't know what all -- whatever all the stuff 12 we're going to require -- all those things would be 13 checked off as he brought those, and that would be a 14 part of the patient record. 15 So we could take this list and things like 16 oxygen and gas delivery system, backup system 17 failsafe, that's probably something the facility is 18 going to have to have. Gas storage facility, safety 19 indexed gas system, suction and backup system, 20 auxiliary lighting system -- that's all going to be 21 required by the facility potentially in a suitable 22 operating room to include the size dimensions, 23 operating primary lighting source, secondary 24 portable backup, accessibility to emergency medical 25 staff, the recovery area.</p> <p style="text-align: right;">Page 65</p>

<p>1 When you get to stuff like appropriate drugs, 2 that's probably something we could pull out and put 3 in this. You know, either the facility can have it 4 or they can allow the practitioner to bring it with 5 them. Obviously, those drugs cannot be expired. 6 Appropriate devices to maintain airway with positive 7 pressure ventilation. 8 The PPV, I guess, would have to be the 9 facility, but the intubation kit could be brought. 10 You guys use combitubes, King airways; anything 11 other than endotrach -- the whole scenario? 12 MR. GAITHER: It's the individual 13 practitioner's preference -- 14 MR. BISHOP: Okay. 15 MR. GAITHER: -- on rescue airway. 16 MR. BISHOP: So the appropriate device 17 to maintain the airway could be something that could 18 be brought out. The history and evaluation form, 19 that's going to be a part of the record anyway. 20 Anesthesia record is a part of the record. 21 Monitoring equipment with pulse oximetry and blood 22 pressure monitoring. 23 I think that's something you can pull out that 24 the facility can have or Mr. Gaither could bring 25 with him. I mean, you have a monitored -- do you</p> <p style="text-align: right;">Page 66</p>	<p>1 things are in place and up to date and everything 2 like that, is that cumbersome to require that to 3 become part of the record? 4 DR. MOORHEAD: You take a sheet of 5 paper, and you put some checkmarks on it. 6 DR. FORT: I'm just asking. I mean, it 7 was going to be -- well, never mind. That's a 8 different regulation. 9 DR. MOORHEAD: I can even show you how 10 to do it electronically. 11 DR. ZENA: Well, you know what? If you 12 think about it from a common sense approach when you 13 do the inspection, you're going to inspect the 14 facility to see if they have a oxygen delivery 15 system built into the wall. That's not going to 16 change anyway. 17 MR. BISHOP: Right. 18 DR. ZENA: And whoever brings the 19 equipment, who cares who brought it? It's there. 20 So it's, like, do you really need to go through a 21 checklist as to what's there and what isn't as long 22 as it's there to begin with at inspection. 23 MR. BISHOP: Well, here's what I want 24 to -- 25 DR. ZENA: But what you're saying makes</p> <p style="text-align: right;">Page 68</p>
<p>1 own your own monitor? 2 MR. GAITHER: No. 3 MR. BISHOP: Okay. I got a life pack 10 4 I might sell you cheap. 5 But do some providers have their own that 6 they prefer to take with them you know of? 7 MR. GAITHER: I do not know. Honestly, 8 I'd be guessing if I said. I'm sure that there are 9 probably some out there, but I do not know of any 10 specific cases, so I'm not sure of that. 11 MR. BISHOP: Okay. I think those are 12 things we can make permissive because there may be 13 some that would have it, along with the EKG. 14 And basically just go through that -- just go 15 through these lists and pull out the ones that are 16 really easily portable and allow the individual to 17 bring them so long as the dentist and the individual 18 providing the sedation services checked those to do 19 a formal checklist to make a part of the patient 20 record prior to the sedation case. 21 DR. FORT: Is that cumbersome? 22 DR. MOORHEAD: Cumbersome to separate 23 out the list? 24 DR. FORT: No, to require a part of 25 that -- instead of being implied that all of those</p> <p style="text-align: right;">Page 67</p>	<p>1 perfect sense -- 2 MR. BISHOP: Okay. 3 DR. ZENA: -- you know, but to be clear 4 about it, but it's kind of going to work itself out 5 anyway. 6 MR. BISHOP: Well, I just want to 7 protect the dentists because with the facility 8 permit, it is tied to the dentist's license. And I 9 don't want to put our dentists in a position where 10 they're assuming -- not that Mr. Gaither would in 11 any way do this, but he brings all the drugs, let's 12 say. 13 DR. ZENA: Right. 14 MR. BISHOP: And everything is in check 15 except for his Romazicon on that day. So -- and 16 they get about halfway through the case. And all of 17 a sudden, the patient has a negative reaction, and 18 he needs to bring him out of the sedation, and he 19 goes, "Crap, I knew I meant to order something." 20 You know, "I didn't order my Romazicon." 21 Well, in the lawsuit that would be pending 22 immediately following that by the patient's family, 23 let's say, they're going to ask us: "Did this 24 facility have the appropriate permit?" 25 "Yes, they did." And here are the</p> <p style="text-align: right;">Page 69</p>

<p>1 requirements. They have to have all this stuff. If 2 we leave it up to a gentleman's agreement that he's 3 going to make sure he has all the right toys and 4 you're going to assume that he does, you are now 5 liable.</p> <p>6 DR. ZENA: So what you're saying is, 7 then, rather -- they're going to have to have an 8 initial inspection where he's going to have to come 9 and have all the stuff there anyway, whatever.</p> <p>10 Then subsequent to that, you're going to have 11 to go through this checklist mandatorially -- the 12 clinician is going to have to mandatorially go 13 through the checklist with the CRNA every time they 14 do a procedure; is that what you're saying?</p> <p>15 MR. BISHOP: Yes, sir. For every 16 sedation case they were going to do, there would be 17 a checklist in the record as a part that appropriate 18 drugs, EKG machine, monitor --</p> <p>19 MS. BURCH: Anesthesia record --</p> <p>20 MR. BISHOP: Yeah, it's just --</p> <p>21 basically an anesthesia record.</p> <p>22 DR. MOORHEAD: I would hope so that we 23 don't introduce unnecessary paperwork, that if there 24 is not -- if the dentist is providing sedation and 25 the facility has already inspected for that dentist</p> <p style="text-align: right;">Page 70</p>	<p>1 expiration date, and we check the top of the list 2 and see what's coming up expired next. And as long 3 as we're okay there, we don't check the whole cart.</p> <p>4 DR. FORT: And Mr. Gaither comes and 5 he's not going to empty out his purse there on the 6 table and have all this stuff laid out to do this. 7 You're going to have it in a crash kit, right?</p> <p>8 MR. GAITHER: Possibly. I speak for me. 9 Yes, I have a little bag. I can't speak for all. 10 Just one comment I might make.</p> <p>11 If you do this, are you talking about each 12 individual case, check, check, check, or at the 13 beginning of your day, if you've got 20 patients or 14 five patients at the beginning of the day, you 15 verify that you have this equipment at the beginning 16 of the day sufficient to last you for this number of 17 cases?</p> <p>18 DR. RICH: That's fine. I mean, the 19 paperwork will carry it over.</p> <p>20 MR. GAITHER: If you're doing it on a 21 case-by-case basis, it's going to be redundant 22 paperwork. It's going to be more for you to check 23 off.</p> <p>24 If, for instance, I come in to your facility to 25 provide services for six cases today and you have an</p> <p style="text-align: right;">Page 72</p>
<p>1 to be there, that you don't have to add that 2 paperwork to the dentist that's always there.</p> <p>3 MR. BISHOP: No, no. If you're doing 4 this and it's your facility and you keep a stock all 5 the time, then that's fine. This is only going to 6 be in cases where you're contracting with an outside 7 individual like a physician anesthesiologist or a 8 CRNA to do these things.</p> <p>9 DR. FORT: Yeah, you'll have to write it 10 in such a way that it's an and/or. But I think you 11 get a facility inspection, and every time you put 12 somebody -- every time you inspect somebody, you 13 don't have to go check and see if the plumbing is 14 working. But I think that the nurse anesthetist 15 coming in, you do have to -- you hand them the paper 16 and say, "Do you have a checklist" --</p> <p>17 DR. S. KING: What if they have it on 18 the bracket table and you just go, "Okay. There is 19 five things on this list; I see all five of them"?</p> <p>20 MR. FRANCIS: Checklist.</p> <p>21 DR. S. KING: I mean, you know, or 10, 22 whatever it is; it's just not that big of a deal.</p> <p>23 DR. MOORHEAD: For instance, when we do 24 a weekly inspection in our office, we have a list of 25 what's supposed to be on there, and we sort them by</p> <p style="text-align: right;">Page 71</p>	<p>1 add-on, you're off, so I'm going to do number seven 2 case. I'm certifying that -- or I'm establishing to 3 you at the beginning of the day that, yes, I do have 4 this equipment with me sufficient to provide 5 services for this number of patients throughout the 6 day and backup equipment if I need it.</p> <p>7 And all I'd have to do is -- whether it's a 8 signed agreement, a checklist or something 9 electronic like Dr. Moorhead suggested, I do just -- 10 the provider, whoever it is, certifies that he had 11 that equipment. That could be something that would 12 save you some paperwork instead of on a case-by-case 13 basis.</p> <p>14 DR. ZENA: Do you feel like this is a 15 good idea with the proposal?</p> <p>16 MR. GAITHER: Actually, I think from a 17 legal standpoint, I think it would take the burden 18 off the dentist provider. I honestly do.</p> <p>19 I think from a legal standpoint, that if you 20 certify that -- if I come into your office and I 21 tell you that, yes, I have all this drug, and you 22 have a document that I have verified that I had this 23 and I'm responsible for this, if there's a untoward 24 outcome, and I didn't have that, then I'm the one 25 that's liable, not you.</p> <p style="text-align: right;">Page 73</p>

<p>1 DR. RICH: Let me expand on that too. 2 If you've got this stuff in your office and you 3 don't know how to use it, that can be a liability, 4 as well, when you're there and the nurse 5 practitioner or whoever does it, is not, if you had 6 an emergency situation. 7 Now, I mean, that could come up just as well in 8 court. Well, you had this drug. Why didn't you use 9 it? I didn't know how to use it because I didn't 10 know it was there. Well, why didn't you, you know? 11 DR. RICH: Yeah, I just bought the kit. 12 I mean, that's where emergency kits get you in 13 trouble; so -- 14 DR. S. KING: Except that we're 15 differentiating between facility and provider 16 because in the facility, you're still going to have 17 liability whether you're present or not and whether 18 you know how to use the drugs or not. 19 DR. FORT: Have you got a draft, Brian? 20 MR. BISHOP: I do. 21 I think the easiest thing for us to do is, 22 like, for example, page 13, line 6, appropriate 23 emergency drugs which may be provided by the 24 individuals listed in Section 21 of this regulation 25 because that lists everybody that we're going to</p> <p style="text-align: right;">Page 74</p>	<p>1 MR. BISHOP: Because the way these are 2 ordered right now, it's going to be kind of 3 cumbersome to make a separate list. 4 DR. FORT: Okay. 5 MR. BISHOP: So behind each one of the 6 ones that decide -- and at this point I'm just 7 suggesting you consider adding it to line 6, 8 parenthesis H; line 8, parenthesis J; line 11, 9 parenthesis M; line 12, parenthesis N. 10 Are we okay? 11 DR. FORT: Page 13. 12 MR. BISHOP: Are we on page 13? 13 DR. ZENA: Yeah. 14 MS. BURCH: Did you skip nonexpired for 15 a reason? Number -- like page -- I mean line seven? 16 MR. BISHOP: Well, I didn't want to put 17 it there because I don't want them to think that 18 they can provide nonexpired drugs. I'm a little 19 concerned about the nonexpired drugs being listed in 20 there. 21 I think, we might ought to look at parenthesis 22 H and say, "Appropriate emergency medical drugs 23 which are not expired," or, you know, something. I 24 mean -- 25 DR. RICH: Are expired drugs appropriate</p> <p style="text-align: right;">Page 76</p>
<p>1 allow to provide these procedures. 2 MR. FRANCIS: 13, line? 3 MR. BISHOP: Page 13, line 6. "You will 4 make the same addendum after appropriate devices to 5 maintain an airway with positive pressure 6 ventilation which may be provided by the individuals 7 listed in Section 21 of this regulation." Same 8 thing with line 11. 9 DR. ZENA: Same thing being what? I 10 missed that. 11 MR. BISHOP: I'm sorry. The language 12 would read -- you would add this clause: "Which may 13 be provided by the individuals listed in Section 21 14 of this administrative regulation." 15 We would add that language. 16 DR. FORT: So you're going to kind of 17 pull those out and put them under that heading? 18 MR. BAUSCH: Between G and H? 19 MR. BISHOP: Well, rather than -- 20 because the way this is listed -- 21 DR. FORT: Or is that behind every one 22 of them? 23 MR. BISHOP: Behind every one, we would 24 just put that in there. 25 DR. FORT: Okay.</p> <p style="text-align: right;">Page 75</p>	<p>1 anyway? 2 MR. BISHOP: No. Expired drugs are 3 never appropriate, unless of course you're selling 4 them in South America. 5 DR. RICH: So, I mean, you can probably 6 leave out nonexpired -- 7 MR. BISHOP: I think you can probably 8 delete line seven totally. 9 DR. MOORHEAD: I think that's assuming 10 that that covers all drugs, not just emergency. 11 MR. BISHOP: Okay. 12 DR. FORT: That's why that's in there? 13 MR. BAUSCH: What about appropriate 14 drugs, including but not limited to, emergency 15 drugs? 16 MR. BISHOP: Yeah. We could just make H 17 appropriate drugs which shall be of a current date. 18 DR. MOORHEAD: Appropriate nonexpired 19 drugs? You said of a current date? 20 MR. BISHOP: Yeah -- or of a -- 21 something, yeah. Which would include the emergency 22 drugs. 23 So we have six appropriate drugs which are not 24 expired and which may be provided by the individuals 25 listed in Section 21. So that's line six. We're</p> <p style="text-align: right;">Page 77</p>

<p>1 deleting line seven. This is all just proposal 2 stuff. I'm not putting words in anybody's mouth. 3 Line eight, we would insert that same clause. 4 Line 11, line 12, line 17, line 18, line 21 and 22. 5 Mr. Gaither, are you both -- are you 6 comfortable with 21 and 22, precordial stethoscope 7 and peritracheal stethoscope? But -- not that it 8 would have to be provided by you, but it -- 9 MS. SCHUSTER: Because it's permissive, 10 right, Brian -- 11 MR. BISHOP: I'm looking at the file 12 version. 13 Yes, ma'am. I'm sorry? 14 MS. SCHUSTER: It's permissive language? 15 MR. BISHOP: Yes. 16 MS. SCHUSTER: Which would be -- 17 MR. BISHOP: Yes. Which may be. Yeah, 18 it's all permissive language. 19 DR. ZENA: I knew I had the wrong one. 20 MR. BISHOP: I'm sorry. I'm looking at 21 the file version. I apologize. I apologize. 22 DR. MOORHEAD: The stethoscope 23 requirement for under shall be present for deep. 24 MR. BISHOP: No. The permissive 25 language is "which may be provided by him instead of</p> <p style="text-align: right;">Page 78</p>	<p>1 MR. BISHOP: -- "check sheet for those 2 items which may be provided by the individuals 3 listed in Section 21." 4 Dr. Moorhead, does that sound reasonable? 5 DR. MOORHEAD: Yes. 6 MR. BAUSCH: Something when Mr. Gaither 7 was talking -- and I'm looking at it from protecting 8 the dentist's liability standpoint. If you start 9 today with seven different cases and you check them 10 at the beginning of the day, you would still need 11 something in each patient's chart. 12 MR. BISHOP: You can just make a copy of 13 that same sheet. 14 MR. BAUSCH: You can make a copy of that 15 one, but I just -- just to make clear that that -- 16 that's not something that you can do one at the 17 beginning of the day and say, "I got my check 18 sheet." And then you get sued, and you go, "Wait a 19 minute; it's not in that file." 20 MR. BISHOP: So on line 10, just to be 21 clear, parenthesis L would read: "Anesthesia 22 records including monitoring. Then we would insert 23 a check sheet of items which may be provided by 24 individuals listed in Section 21 and discharge 25 records."</p> <p style="text-align: right;">Page 80</p>
<p>1 you." You have to have it. It just depends on who 2 has ownership of it. 3 Okay. Let's go back to the top, Dr. Zena; page 4 13. 5 DR. ZENA: I'm sorry. 6 MR. BISHOP: That's okay. Everybody on 7 the right copy now. I'm looking at the filed 8 version. I apologize. 9 So on page 13, line six, we would delete line 10 seven. We would put that clause behind line eight, 11 line 11, line 12, line 17, line 18, 19, 21, and 22, 12 and that would be it. And that gives us the option 13 for those things which are portable to be brought 14 in. 15 DR. ZENA: Now, where are you going to 16 insert the language about a checklist? 17 MR. BISHOP: We will insert that -- 18 MR. BAUSCH: But just in generic 19 language, it says, "Those items which may be 20 provided by outside shall be subject to a checklist 21 and placed in each patient's anesthesia file." 22 MR. BISHOP: Well, look at page 13, line 23 10. "Anesthesia records including monitoring and 24 discharge records and" -- 25 MR. BAUSCH: Check sheet for --</p> <p style="text-align: right;">Page 79</p>	<p>1 That takes you through the whole process; your 2 monitoring, the items that you allowed the other 3 individual to bring, and then your discharge 4 records. 5 MR. BAUSCH: Okay. Add one little bit 6 on that, and that would be a signed check sheet by 7 both practitioner and person providing those items? 8 You would want both people to sign off 9 somewhere, put that in that file so that you -- you 10 know, a year from now when you go back to look and 11 you forget which CRNA you used. 12 MR. BISHOP: So you want to say a check 13 sheet of items which may be provided by the 14 individuals listed in 21 signed by -- 15 MR. BAUSCH: By both. 16 MR. BISHOP: -- by both? 17 MR. BAUSCH: By practitioner and -- 18 MR. BISHOP: Provider? 19 MR. BAUSCH: -- a provider as listed in 20 Section 21. 21 MR. BISHOP: Okay. 22 DR. FORT: Okay. So now are we going to 23 make that -- are you happy with it? 24 MR. BISHOP: Well, it's not about me 25 being happy. I'm happy with anything.</p> <p style="text-align: right;">Page 81</p>

<p>1 DR. FORT: You have got it written down. 2 Is everybody else -- are we on the same -- on the 3 page? Do we know what we think we've got? 4 MR. BISHOP: I know what I've written. 5 DR. FORT: Okay. All right. 6 MR. BISHOP: Would you like me to go 7 through it one more time? 8 MR. FRANCIS: No. 9 MR. BISHOP: No? Okay. As long as 10 you're cool, I'm good. 11 DR. FORT: Okay. So we will entertain a 12 motion to accept that language into the new, old 13 regs. 14 MR. BURCH: Section 13. 15 MR. FRANCIS: I move. 16 DR. FORT: The modifications outlined 17 here. 18 MS. BURCH: Second. 19 DR. FORT: Allan and -- blank -- 20 MS. BURCH: Burch. 21 DR. FORT: Burch. 22 DR. MCKEE: More coffee needed? 23 DR. FORT: Any additional discussion? 24 BOARD MEMBERS: (No response.) 25 DR. FORT: All in favor?</p> <p style="text-align: right;">Page 82</p>	<p>1 DR. FORT: It's on -- yeah. 2 MS. TURNER: What section, Dr. Moorhead? 3 DR. MOORHEAD: Yeah, it's labeled X and 4 it's between -- 5 DR. FORT: The Section X on the nonfile 6 top. 7 DR. E. PAPE: It's after the definitions 8 at the beginning, page four, line seven. 9 DR. MOORHEAD: On the revised documents 10 on page four, line seven. 11 MR. BISHOP: That's going to be on the 12 copy that does not say filed version. 13 DR. FORT: Okay. I'll either entertain 14 discussion or a motion or anything else. 15 DR. MOORHEAD: Lisa, move the page down 16 to show that line 18 below too because that's the 17 most important meat of it. 18 MS. TURNER: Okay. 19 DR. MOORHEAD: Just a little bit more. 20 DR. FORT: Line 18 on page five. 21 DR. MOORHEAD: Yes. 22 And I especially want to call your attention to 23 page five, number four, that is in direct conflict 24 to the old regulations. Make sure that you're okay 25 with that.</p> <p style="text-align: right;">Page 84</p>
<p>1 BOARD MEMBERS: Aye. 2 DR. FORT: Any opposed? 3 BOARD MEMBERS: (No response.) 4 DR. FORT: Passed. Thank you. 5 MS. TURNER: Go on to the next topic. 6 DR. FORT: I don't think there are any. 7 MS. TURNER: Oh, is that it? 8 DR. FORT: Oh, yeah, we need to do -- 9 MS. TURNER: Oh, credentials. Oh, good. 10 DR. FORT: Brian is gone, so we will 11 pause -- 12 DR. MOORHEAD: We've still got to deal 13 with the work group's recommended changes. 14 DR. FORT: Oh, okay. 15 DR. MOORHEAD: Nitrous oxide and 16 moderate sedation. 17 DR. FORT: Yes. 18 DR. RICH: AED. 19 DR. MOORHEAD: AED, yeah. 20 DR. FORT: Okay. That's right. Yeah. 21 I'm sorry. 22 Now, we'll separate those recommendation of the 23 work group. That was on page -- it's not on any 24 page in the file group. 25 MS. TURNER: It's in a separate one.</p> <p style="text-align: right;">Page 83</p>	<p>1 DR. FORT: Yeah. 2 DR. ZENA: Oh, about the dental 3 assistant. 4 MR. BISHOP: Can I ask a question about 5 that? 6 DR. MCKEE: I have a question too. 7 MR. BISHOP: Well, you go first, because 8 you got the degree. 9 DR. MCKEE: On the KDA version, page 10 five, line four, "Be present in the office." Is the 11 intent on-site, or is the intent in the treatment 12 area? 13 DR. E. PAPE: Direct supervision means 14 the dentist has to be in the facility, not at the 15 mailbox outside, not at the post office. 16 DR. MCKEE: Not in the treatment room. 17 MS. BURCH: And not face to face. 18 DR. E. PAPE: That's correct. Right. 19 DR. MCKEE: I just want to make sure. 20 MS. BURCH: Why is this directly in 21 conflict before -- 22 DR. E. PAPE: When using the Board of 23 Dentistry's definition, correct. 24 DR. MCKEE: Cool. 25 MS. BURCH: -- assistants weren't able</p> <p style="text-align: right;">Page 85</p>

<p>1 to use nitrous --</p> <p>2 DR. FORT: No, not at all.</p> <p>3 MS. BURCH: -- without the dentist there</p> <p>4 now, right? Why is it --</p> <p>5 DR. FORT: No. They couldn't start it.</p> <p>6 DR. RICH: They couldn't start it. They</p> <p>7 were not allowed to administer it.</p> <p>8 MR. BISHOP: All they can do previously</p> <p>9 was just monitor the patient while they were on it.</p> <p>10 My question is this: Is it -- and help me</p> <p>11 understand -- isn't parentheses four actually in</p> <p>12 direct conflict with our new and old hygiene regs</p> <p>13 and the need for the nitrous oxide block</p> <p>14 infiltration certification level. I mean, we make</p> <p>15 all of our hygienists go to a course before they're</p> <p>16 able to administer nitrous.</p> <p>17 DR. S. KING: But they're tied together.</p> <p>18 DR. FORT: We made them go to a course</p> <p>19 to do local anesthesia and nitrous got --</p> <p>20 DR. S. KING: Unbundle them is maybe</p> <p>21 what --</p> <p>22 MR. BISHOP: Well, and we -- and under</p> <p>23 the new reg, though, we unbundled block infiltration</p> <p>24 from nitrous because we got folks caught in that</p> <p>25 sticky wicket a couple of years ago where --</p> <p style="text-align: right;">Page 86</p>	<p>1 in the office.</p> <p>2 MS. BURCH: Under direct supervision --</p> <p>3 DR. MOORHEAD: Under direct supervision.</p> <p>4 MS. BURCH: -- the dentist would always</p> <p>5 be there.</p> <p>6 DR. MOORHEAD: Yeah. The hygienist</p> <p>7 could change the level because she's trained; she</p> <p>8 understands what's going on. The assistant must do</p> <p>9 what the dentist says.</p> <p>10 MS. BURCH: Is it my understanding</p> <p>11 correct now that a hygienist that's not been to the</p> <p>12 anesthesia nitrous course can monitor it? So if the</p> <p>13 dentist starts it, the hygienist can monitor it.</p> <p>14 DR. MOORHEAD: That's correct. Same</p> <p>15 as an anesthetist.</p> <p>16 MS. BURCH: As -- right.</p> <p>17 DR. FORT: So under the -- in the</p> <p>18 anesthesia course, Brian, then if your -- if the</p> <p>19 hygienist is in there and patient starts getting</p> <p>20 apprehensive, the hygienist can go on and apply</p> <p>21 nitrous oxide without going to ask the dentist</p> <p>22 because the dentist is in there; whereas, the dental</p> <p>23 assistant can't do that?</p> <p>24 The dentist has to say -- you know, dental</p> <p>25 assistant go start Jane Doe on, you know, 30 percent</p> <p style="text-align: right;">Page 88</p>
<p>1 MR. FRANCIS: Oh, they did unbundle it?</p> <p>2 MR. BISHOP: Yeah. Because they had --</p> <p>3 the complaint was they had -- they worked in an</p> <p>4 office that didn't do block infiltration but did do</p> <p>5 nitrous. And so they still have to take the whole</p> <p>6 course. There's no change there, but they can</p> <p>7 practice one or the other. As long as they practice</p> <p>8 one or the other, they don't have to take the</p> <p>9 refresher in both.</p> <p>10 DR. ZENA: "They," being a hygienist?</p> <p>11 MR. BISHOP: Yes, sir. I'm sorry.</p> <p>12 "They," being the hygienist. They only have to take</p> <p>13 the refresher course. I just want to be sure we're</p> <p>14 not going to upset the entire dental hygiene world.</p> <p>15 DR. MOORHEAD: Well, I would put to you</p> <p>16 that if the hygienist is working, even though she's</p> <p>17 still in the -- let me ask first. Can a hygienist</p> <p>18 use nitrous oxide under general supervision without</p> <p>19 the dentist there?</p> <p>20 MR. BISHOP: No.</p> <p>21 DR. MOORHEAD: Okay. I would put to you</p> <p>22 that the hygienist can make the decision on what</p> <p>23 they want to do with the nitrous, the level and the</p> <p>24 like, by themselves. The dental assistant would</p> <p>25 have to take direct orders from the dentist that's</p> <p style="text-align: right;">Page 87</p>	<p>1 nitrous.</p> <p>2 MR. BISHOP: Okay. Let me -- I just</p> <p>3 want to be sure that I know exactly where we are.</p> <p>4 Because direct supervision in my mind as we have</p> <p>5 defined it in both statute and reg is not what I see</p> <p>6 as direct supervision. So I want to be sure that</p> <p>7 everybody understands where we are.</p> <p>8 We define direct supervision as the dentist is</p> <p>9 in the office.</p> <p>10 DR. FORT: Right.</p> <p>11 MR. BISHOP: And so what we're saying,</p> <p>12 as long as the dentist is in the office somewhere,</p> <p>13 you can tell Brian Bishop's dental assistant go put</p> <p>14 the patient in operatory three on 30 percent</p> <p>15 nitrous, and that's what we're wanting to do.</p> <p>16 Okay. All right. I'm fine with that.</p> <p>17 MS. BURCH: Is there a reason not to add</p> <p>18 hygienists as well as dental assistant to line</p> <p>19 four -- I mean, line five, number four?</p> <p>20 DR. ZENA: She is already licensed.</p> <p>21 MR. FRANCIS: Yeah. The hygienist is</p> <p>22 licensed.</p> <p>23 DR. MCKEE: If she's taken that course.</p> <p>24 MS. BURCH: Oh, if she's taken that</p> <p>25 course.</p> <p style="text-align: right;">Page 89</p>

<p>1 MR. BISHOP: Yeah. That's the sticky 2 wicket. 3 DR. MOORHEAD: If you added hygienist, 4 then the hygienist could -- then the assistant could 5 technically be working under the hygieist and that 6 could be something -- 7 MR. FRANCIS: Yeah, that could cause a 8 problem -- 9 MS. BURCH: Say that again? 10 DR. RICH: Are you adding this at the 11 beginning or at the end? 12 MS. BURCH: I was going to say, "Dental 13 assistant and/or hygienist may administer nitrous 14 oxide." 15 MR. BISHOP: Yeah. Under the dentist's 16 direct supervision and direct order, a dental 17 assistant or a dental hygienist may, yes. I agree 18 with -- yeah. I think that alleviates any 19 appearance of problems that we might have. 20 DR. ZENA: What if the dental assistant 21 wants to work under the supervision of the hygienist 22 then? 23 MR. BISHOP: It only -- because this 24 says under the dentist's direct supervision and 25 direct orders. Either one of those two individuals</p> <p style="text-align: right;">Page 90</p>	<p>1 actually, I do have a question about that now that 2 you've brought it up, but go ahead with your 3 comment. 4 DR. ZENA: So to make sure I understand 5 this then, so these dental assistants have no 6 training whatsoever or requirements for training. 7 They -- just because they're hired as a dental 8 assistant, they can go ahead and do this? 9 MS. BURCH: That's what I was -- 10 MR. BISHOP: That's what they're 11 proposing. 12 DR. ROBINSON: Should they not be 13 required to take the same nitrous oxide course that 14 the hygienists are or can it be packaged because 15 we're going to have a sedation, like where they can 16 start a line. A dental assistant maybe could 17 package the nitrous oxide with that one, as well. I 18 think that group is working on the educational 19 components. 20 DR. FORT: Don't you think -- I'll try 21 not to get myself in trouble. 22 DR. MCKEE: Do you need a paper bag? 23 DR. FORT: We're giving the hygienist 24 more leeway to adjust. I mean, what we're talking 25 about here is saying you go in there start the</p> <p style="text-align: right;">Page 92</p>
<p>1 may go administer the nitrous. 2 DR. FORT: Yeah, go ahead. 3 DR. ANDERSON: Steve Anderson, oral 4 surgeon in London. 5 I just wanted to have you consider a little 6 ambiguity because there's a portion under moderate 7 and deep sedation where IV medications can be 8 administered under the direct supervision. So you 9 have direct supervision there which, in my thinking, 10 is you're actually seeing it administered into the 11 actual IV lines. 12 DR. FORT: Did it say direct supervision 13 or direct order? 14 DR. MOORHEAD: Direct supervision there 15 means the dentist is in the office. 16 DR. E. PAPE: And you gave an order -- 17 you're in the next room and you give an order to 18 have your assistant go to start pushing the med. 19 DR. MOORHEAD: And our oral surgeon on 20 the work groups specifically asked it to be left 21 that way. Said that was common practice. 22 DR. ANDERSON: What section is that? 23 DR. MOORHEAD: The last section, not 24 counting the -- 25 MR. BISHOP: It's on page 20. And</p> <p style="text-align: right;">Page 91</p>	<p>1 nitrous on this patient and stay with them at 2 blankety-blank level. And you're not asking them to 3 do anything other than stick it on their nose and 4 turn the gas on. You're not required to make any 5 kind of judgments whatsoever other than just if they 6 can find their nose. 7 DR. ROBINSON: And knowing how to do it. 8 DR. FORT: Well, and turning the machine 9 on, which the machine is -- okay, that's true. Now, 10 with the dental hygienist, with the class -- and 11 I've not been to this class -- but we're giving them 12 permission to use -- to almost prescribe nitrous 13 oxide, so to speak. 14 Now, the dentist still has to be in the house, 15 but they can -- but they can have that. So there is 16 just a little step up in my understanding. 17 DR. ZENA: But -- I'll make sure I 18 understand this. But that particular dental 19 hygienist has to have had a certain course. 20 DR. FORT: She's gone to that class. 21 DR. ZENA: Right. Now, the reason that 22 she wanted to add the hygienist, which I understand 23 the language here, would be that if an untrained 24 hygienist in nitrous oxide would have the same 25 capability as a dental assistant.</p> <p style="text-align: right;">Page 93</p>

<p>1 DR. RICH: Right.</p> <p>2 DR. ZENA: Because if it's not put in</p> <p>3 there, then really she wouldn't; so --</p> <p>4 DR. FORT: I mean, like, on the</p> <p>5 delegated duties list, a dental hygienist can do</p> <p>6 anything that a dental assistant can do.</p> <p>7 DR. ZENA: So, then, we don't need the</p> <p>8 language in there.</p> <p>9 DR. FORT: I mean -- yes.</p> <p>10 MR. BISHOP: I think we're in conflict</p> <p>11 with our statute if we do this.</p> <p>12 Bill, this is where I retire my law license.</p> <p>13 Do you have your statute book with you?</p> <p>14 MR. BAUSCH: I don't think I have the</p> <p>15 latest one.</p> <p>16 MR. BISHOP: Okay. Here's 313-045.</p> <p>17 MR. BAUSCH: I was just sitting here</p> <p>18 thinking what they --</p> <p>19 MR. BISHOP: Lisa, pull up the LRC web</p> <p>20 site, please.</p> <p>21 MR. BAUSCH: This gets into about four</p> <p>22 of your questions. In paren three, "A registered</p> <p>23 dental assistant shall practice under the</p> <p>24 supervision, order, control, and full</p> <p>25 responsibility."</p> <p style="text-align: right;">Page 94</p>	<p>1 DR. ROBINSON: And not have the dental</p> <p>2 assistant --</p> <p>3 MR. BAUSCH: And this is in conflict</p> <p>4 with what you said that the doctor has to go and</p> <p>5 tell the assistant to go out, whereas under paren</p> <p>6 five, you're saying that they can only do procedures</p> <p>7 as told by the dentist or licensed dental hygienist.</p> <p>8 So you are allowing your hygienist to, in turn,</p> <p>9 just what you said, your hygienist could take the</p> <p>10 step, which is already in our statute; so --</p> <p>11 MS. BURCH: Can you say that one more</p> <p>12 time?</p> <p>13 DR. RICH: Basically, it just comes down</p> <p>14 to whether or not we want to allow dental assistants</p> <p>15 to give nitrous under direct supervision.</p> <p>16 MS. BURCH: I think that the kicker is</p> <p>17 either monitor or administer. Administer to me</p> <p>18 means start it, decide what level it's on, where</p> <p>19 monitor would be if the dentist started it and the</p> <p>20 assistant monitored it without the dentist in the</p> <p>21 room. To me, that's what I thought it already is</p> <p>22 now; that the dentist decides and the assistant</p> <p>23 monitors.</p> <p>24 MR. BAUSCH: When you get that pulled</p> <p>25 up -- it's 313-045, paren five.</p> <p style="text-align: right;">Page 96</p>
<p>1 Does that fall outside of what you were asking</p> <p>2 before about being in the house?</p> <p>3 MR. BISHOP: No. No, that's fine.</p> <p>4 MR. BAUSCH: That's one.</p> <p>5 MS. TURNER: You just want 313?</p> <p>6 MR. BISHOP: I want 313-045.</p> <p>7 MR. BAUSCH: And then the other one</p> <p>8 about only assigned to registered dental assistants</p> <p>9 procedures that do not require the professional</p> <p>10 competence. That's one where you could be stepping</p> <p>11 on the line of administering -- you're getting -- as</p> <p>12 you said, it's a step up, but I'm not sure it's a</p> <p>13 step out.</p> <p>14 MS. TURNER: 145?</p> <p>15 MR. BISHOP: Yes.</p> <p>16 MR. ZENA: Would you repeat that</p> <p>17 language again.</p> <p>18 MR. BAUSCH: "Supervising dentists shall</p> <p>19 only assign to registered dental assistants</p> <p>20 procedures that do not require the professional</p> <p>21 competence of a licensed dentist or a licensed</p> <p>22 dental hygienist."</p> <p>23 MR. BISHOP: And if you're requiring the</p> <p>24 hygienist to go take a course in nitrous --</p> <p>25 MR. BAUSCH: And then not the --</p> <p style="text-align: right;">Page 95</p>	<p>1 DR. MOORHEAD: The key words are "may</p> <p>2 administer nitrous oxide at the level prescribed by</p> <p>3 the dentist."</p> <p>4 MS. BURCH: So the dentist could have</p> <p>5 that in the chart that said this patient's level</p> <p>6 needs to be --</p> <p>7 MR. BAUSCH: And then, in turn, reading</p> <p>8 this, if you want to carry it to its natural</p> <p>9 conclusion, the hygienist could then after the</p> <p>10 doctor tells him what to do, go tell the assistant.</p> <p>11 MS. BURCH: Where does it say the</p> <p>12 hygienist can tell the assistant --</p> <p>13 MR. BAUSCH: It says, "supervising</p> <p>14 dentist shall only assign the registered dental</p> <p>15 assistant procedures that do not require the</p> <p>16 professional competence of a licensed dentist or a</p> <p>17 licensed dental hygienist."</p> <p>18 DR. FORT: Brian, where do you see the</p> <p>19 problem?</p> <p>20 MR. BISHOP: Well, that does -- here's</p> <p>21 the question. It's a simple question. Does the</p> <p>22 administration of nitrous or to where Dr. Anderson</p> <p>23 was going a moment ago, the administration of</p> <p>24 medications or the administration of IV lines</p> <p>25 require the professional judgment and skill.</p> <p style="text-align: right;">Page 97</p>

<p>1 I think -- because parenthesis five says that a 2 dentist can't delegate anything to an assistant that 3 requires the professional judgement and a skill of a 4 dentist or a hygienist. 5 So if those three things require professional 6 judgment and skill to pull off, then you can't 7 delegate those duties to a dental assistant. 8 DR. FORT: But we have already included 9 the ability of an assistant. I mean, that's in 10 there to push IV drugs on the order of a dentist. 11 DR. RICH: If they can do that, surely 12 they can -- 13 DR. FORT: We've already -- that's 14 already included. We've already plowed that field. 15 DR. RICH: Okay. 16 DR. FORT: Haven't we? Haven't we? 17 MR. BISHOP: I'll have to go back and 18 get the delegated duties list. That might be on 19 there. I don't remember right off the top of my 20 head. 21 DR. FORT: I see a bunch of -- but I 22 can't find the number. I mean -- so if you can say, 23 "Push 30 milligrams of" something that would be 24 appropriate, then surely you can say start 25 30 percent nitrous oxide.</p> <p style="text-align: right;">Page 98</p>	<p>1 make sure it wasn't inconsistent. 2 DR. FORT: I didn't know we were arguing 3 that one. Okay. 4 MR. BAUSCH: Well, it's all under 5 paragraph five of 313-045. 6 MR. BISHOP: Is everybody here 7 comfortable with a dental assistant pushing a drug 8 on an order from a dentist who is not in that 9 operatory? 10 DR. ZENA: I've got a problem with that. 11 MS. BURCH: I'm not comfortable with 12 that. 13 MR. BISHOP: I just want to be sure 14 we're all -- 15 DR. RICH: I don't have a problem with 16 it. I think we've been doing it for years, not 17 necessarily general dentists, but I think your oral 18 surgeons and whatnot have been. So, I mean, we 19 obviously don't have -- it's hasn't -- 20 DR. FORT: We're going way backwards 21 now. 22 DR. RICH: It hasn't created a problem. 23 MR. BISHOP: Well, I think -- I think 24 we're defining what we mean by our delegated duties 25 list. But, I mean, as long as everybody is</p> <p style="text-align: right;">Page 100</p>
<p>1 DR. MOORHEAD: And that was our exact 2 rationale. 3 MR. BISHOP: As long as you-all are 4 comfortable, I'll learn to be. I'm not comfortable 5 but -- 6 DR. ZENA: There's a difference there 7 because if you're telling somebody -- an assistant 8 to push a drug, you're standing right there. 9 MS. BURCH: No, you're in the facility. 10 MR. FRANCIS: No, you're in the 11 facility -- 12 DR. ZENA: So you stand down the hall in 13 the x-ray room and push in 20 milligrams of 14 whatever? 15 DR. RICH: Right. 16 MR. BAUSCH: And I agree with -- after 17 re-reading this thing, I don't think this -- this is 18 still under the direct supervision of the dentist. 19 God, that is strange language. 20 Well, okay. You're defining language is 21 "Dentist shall only assign to registered dental 22 assistant." So it preempts any hygienist telling 23 assistants what to do. 24 DR. FORT: Oh, well, that's okay. 25 MR. BAUSCH: Okay. Well, I just want to</p> <p style="text-align: right;">Page 99</p>	<p>1 comfortable, I'll learn to get there. 2 MR. FRANCIS: It's not going to change 3 your scope of what we did for years and years. 4 DR. RICH: Are you-all comfortable -- I 5 mean, it's standard practice, right? Has been for 6 years, right? 7 MR. BAUSCH: Yes, that's correct. 8 DR. RICH: I don't see any reason to 9 change it now. 10 MR. BISHOP: Okay. Cool. I'm fine. 11 DR. RICH: It's not -- it hasn't been a 12 problem in the past. I don't know why it creates a 13 problem now just by talking about it. 14 MS. BURCH: I would be more comfortable 15 with 1-5 if it also required that a dental assistant 16 have a course before she administers nitrous if a 17 hygienist has to have a course. So if we want to 18 somehow include that into the I.V. 19 DR. S. KING: No, it's different. 20 MR. FRANCIS: It's different. I don't 21 think it's the same thing at all. 22 MS. BURCH: Yeah, help me understand 23 it. 24 DR. HARGAN: I'm sorry. James Hargan, 25 representing myself.</p> <p style="text-align: right;">Page 101</p>

<p>1 If the hygienist had that special course to be 2 able to adjust -- I'm asking -- to adjust the 3 nitrous level up and down based on judgements that 4 she learned in the classroom how the patient is 5 doing, is that correct or not correct? 6 MS. BURCH: Well, it's my understanding 7 a hygienist could -- if they took that course -- 8 could start the nitrous and administer it, monitor 9 it if they had the course. If they have not had the 10 course, the dentist would tell them how much to 11 start, and they can monitor. 12 DR. HARGAN: And they have to leave it 13 there and don't move; is that correct? 14 MS. BURCH: That was my understanding. 15 DR. HARGAN: They have to leave it at 16 that level. You can't adjust it. The dentist says 17 you start it at 30 percent -- 18 MS. BURCH: Monitor it. I thought you 19 could adjust it. 20 DR. HARGAN: Monitor to me means you 21 start it at what you're told to do, and you monitor 22 the patient to make sure they're all right. And if 23 they're not all right, you run and get the dentist 24 and get their butt in there. 25 That's my understanding, and that's my</p> <p style="text-align: right;">Page 102</p>	<p>1 MS. BURCH: I guess my question still 2 goes back to the administer. This is a dental 3 assistant or a hygienist, we're going to say, may 4 administer nitrous oxide at the level prescribed by 5 the dentist. And "administer," does that mean 6 start? 7 DR. RICH: Yes, it is. 8 MR. FRANCIS: Yes. 9 MS. BURCH: Okay. So are you going to 10 require the hygienist to have the course to be able 11 to start it, but you're not saying here that you'll 12 require -- 13 DR. FORT: No. But she can start it on 14 her own. 15 DR. RICH: Because the hygienist can do 16 every -- 17 DR. FORT: The dentist prescribes it -- 18 the dentist says, "Go start her on gas." 19 DR. RICH: The hygienist can already do 20 everything an assistant can do. 21 MR. FRANCIS: Yeah. 22 DR. FORT: It's not limiting the 23 hygienist -- 24 MR. BAUSCH: It can be easier if you 25 leave out hygienist, and let's just keep assistant.</p> <p style="text-align: right;">Page 104</p>
<p>1 question. Because if that is true, then I don't see 2 a difference between that and saying, "You go give 3 2.5 of Versed and monitor the patient." 4 There's no difference. That's why I wanted to 5 clarify what the class taught will allow them to do. 6 If that's the case, then there would be no 7 difference in my opinion. So you can't adjust. 8 There's no judgment. You just start -- I mean, 9 that's my -- 10 DR. E. PAPE: I think -- didn't you 11 bring this up earlier that the hygienist over in her 12 operatory comes upon an anxious patient, and she can 13 make the decision whether or not to turn that 14 nitrous on and get it going without him to yell over 15 at me to see if it's okay. 16 DR. FORT: So the same as you would -- 17 same as you wanted to apply some anesthesia -- 18 DR. E. PAPE: Right. And she can pick 19 up the syringe and inject and not have to come ask 20 me because she has the training. She has taken the 21 courses or she graduated with that upon her 22 registered dental hygienist. Yeah, her RDA. 23 DR. FORT: So it's sort of the ability 24 to prescribe it. 25 DR. E. PAPE: That's correct.</p> <p style="text-align: right;">Page 103</p>	<p>1 MS. BURCH: Yeah, I'm thinking keep 2 assistant because I think it's assumed that the 3 hygienist can do anything the assistant does. 4 DR. ROBINSON: But that's only with 5 training; is that correct? It's only with training, 6 so you may have a dental hygienist so that -- does 7 that leave out a category of individuals if I want 8 to tell a dental hygienist to go start at a 9 percentage of nitrous, the dental hygienist who has 10 not had a course, cannot do that. But we're letting 11 a dental assistant -- 12 DR. RICH: No. No. No. And, then, a 13 hygienist that had the course doesn't even need a 14 dentist to start nitrous? 15 DR. ROBINSON: So a hygienist can start 16 nitrous -- 17 DR. RICH: With a course without the 18 dentist. 19 MR. BAUSCH: An assistant can only start 20 with the direct order of the dentist without the 21 course. 22 DR. ROBINSON: I just don't have that 23 list in front of me of the delegated duties. 24 MS. TURNER: It's right here. I just 25 brought copies.</p> <p style="text-align: right;">Page 105</p>

<p>1 DR. ROBINSON: Oh, thank you. 2 MR. BISHOP: All right. Are we 3 comfortable? 4 DR. FORT: Adam? 5 DR. RICH: I'd like to motion that we're 6 comfortable with the language and move on; and if we 7 don't like the idea we can vote it down, come back 8 and do something else. 9 DR. FORT: Okay. 10 MS. TURNER: So comfortable with the, 11 language, Doctor, or is it just that one section? 12 I've got lost in the shuffle. 13 DR. RICH: It's basically the -- 14 MS. TURNER: Dental assistant 15 administering nitrous oxide under direct 16 supervision? Is that the only thing that you-all 17 covered in this motion? 18 DR. RICH: Well, actually the whole 19 nitrous oxide section has been added, correct? 20 MS. TURNER: And that's what your motion 21 is? 22 MR. BAUSCH: Which is page 18. 23 DR. FORT: Yeah. 24 MR. BAUSCH: Items five and four. 25 MS. BURCH: We are including the</p> <p style="text-align: right;">Page 106</p>	<p>1 BOARD MEMBERS: (No response.) 2 DR. FORT: All in favor? 3 BOARD MEMBERS: Aye. 4 DR. FORT: Any opposed? 5 BOARD MEMBERS: (No response.) 6 MR. BISHOP: I just want to make sure 7 that was all the language that they proposed without 8 modification? 9 DR. FORT: Without modification. 10 MR. BISHOP: Okay. 11 DR. FORT: Okay. 12 MR. BISHOP: Because I've scribbled all 13 over mine, I want to make sure I go back to a clean 14 version. 15 DR. FORT: Now, we're going to the AED 16 section. We've got 55 minutes left with the court 17 reporter. Page 14. 18 MR. BAUSCH: Just so you know, the 19 changes that were proposed without modification in 20 the revised section are in entirety of document. 21 MR. BISHOP: Okay. 22 MR. BAUSCH: So no verbiage change. 23 MR. BISHOP: Okay. 24 DR. FORT: Okay. So now we're on line 25 11.</p> <p style="text-align: right;">Page 108</p>
<p>1 hygienist there; dental assistant and/or hygienist? 2 DR. RICH: I think a hygienist is 3 included by default, but as a hygienist has all the 4 rights of a dental assistant. 5 DR. FORT: So Adam moves to accept the 6 comment. 7 MR. FRANCIS: I second that comment. 8 MS. BURCH: Is there a problem with 9 adding hygienist back into that clause? 10 MR. BISHOP: No. I just think you're 11 taking the rights that the hygienist already has and 12 backing them out and making it look like there would 13 be a conflict as to whether or not you would have to 14 have the doctor tell the hygienist who was 15 previously able to start nitrous now has to do it 16 under this provision under the order of a doctor. 17 MR. FRANCIS: We're making this dirty 18 ourselves. We're clouding our own -- 19 MR. BISHOP: Yeah, you're backing the 20 rights of a hygienist. 21 MS. BURCH: I see what you're saying. 22 DR. FORT: Okay. So is there -- 23 MR. FRANCIS: Second. 24 DR. FORT: Allan has got the second. 25 Any additional discussion?</p> <p style="text-align: right;">Page 107</p>	<p>1 DR. S. KING: Of which document? 2 DR. FORT: That would be an unfiled 3 document, line 11, page 14, and I think it's line -- 4 DR. RICH: Line 13. 5 DR. FORT: Line 13 is where the 6 change -- 13 and 14 are the change. They would have 7 added moderate sedation and struck adult patients. 8 Is that all? 9 DR. ZENA: I thought they put in no EKG, 10 was my understanding, because it's not written on 11 here for some reason. 12 The way it's written -- I don't want to 13 interrupt you, but this was my thought. The way I 14 understand what they were proposing is that you had 15 to have a AED but the language deliberately excluded 16 EKG; is that correct? 17 DR. FORT: That was the compromise. 18 Now, this is not in -- this is in no way a 19 contradiction to the other thing we passed earlier, 20 right? 21 MR. BAUSCH: Are you talking about the 22 very first one? 23 DR. FORT: Well, the one from the -- 24 DR. RICH: This is just moderate 25 sedation.</p> <p style="text-align: right;">Page 109</p>

<p>1 DR. FORT: So I guess it is -- I guess 2 it's -- 3 DR. ZENA: This contradicts Dr. Look's. 4 DR. FORT: Yeah, it does contradict. 5 DR. RICH: It does contradict 6 Dr. Look's, but we already -- 7 MR. BISHOP: We already rejected his 8 comment; so -- 9 MR. FRANCIS: We rejected that. 10 DR. FORT: Okay. Do we have any other 11 conflicts? 12 DR. ZENA: No. 13 DR. FORT: All right. 14 MS. BURCH: Requires an AED but not EKG? 15 DR. FORT: Correct. Motion? 16 DR. ZENA: Well, that wasn't -- we're 17 going to go through line 14 as well or do both or 18 just one line at a time? 19 MR. BAUSCH: I think you're looking at 20 comparing 11 -- taking 11, 12, 13 and 14 -- 21 DR. ZENA: What was the change on line 22 14? Was it -- I've got holders on adult patients. 23 What does that mean? 24 DR. MCKEE: On adult patient is stricken 25 on the KDA's copy.</p> <p style="text-align: right;">Page 110</p>	<p>1 DR. ZENA: Oh, I see. So if it was a 2 child as opposed to an adult, so this way if he -- 3 that includes everybody. 4 DR. MOORHEAD: Yes. 5 DR. ZENA: Okay. Got it. So it should 6 read "on patients," not "on adult patients." 7 DR. MOORHEAD: Uh-huh. And while we're 8 making revisions, I noted that -- it says "permits 9 holder." We need to strike a S on the -- it should 10 say "permit holder." "Sedation general anesthesia 11 permit holder/holders." 12 DR. ZENA: I wish these things were 13 indented in sedation -- 14 DR. MOORHEAD: I wish these things were 15 indented too. 16 DR. ZENA: And put in there on "deleted 17 adult patients," just put "on patients." Is that 18 correct? 19 DR. E. PAPE: No. 20 MR. BISHOP: No. Just leave it -- 21 DR. ZENA: Just leave it off of -- 22 MR. BISHOPS: "Holders," period. 23 DR. FORT: And then it talks about it. 24 So what it does, it permits the holders for the next 25 one for deep sedation. On pediatric patients,</p> <p style="text-align: right;">Page 112</p>
<p>1 MR. FRANCIS: It's permit holders -- 2 DR. ZENA: Can you explain 14 again to 3 us? I'm confused. 4 DR. MOORHEAD: Are we talking about the 5 defibrillator? 6 DR. FORT: No, line 14 where you struck 7 "adult patients." I think that's it. 8 DR. ZENA: Yeah, that's it. That's it. 9 DR. MCKEE: It looks like to me it was 10 "holders inserted." I can't tell. 11 DR. RICH: Yeah, there is something else 12 inserted -- 13 MR. BAUSCH: It's for patient -- yeah, 14 "holders for patients with significant cardiac 15 history" or is that no? 16 DR. MOORHEAD: There's a pediatric level 17 of moderate sedation and -- 18 THE COURT REPORTER: I'm sorry. I can't 19 hear you. 20 DR. MOORHEAD: There's a pediatric level 21 of moderate sedation, and Dr. Charlotte Haney, 22 pediatric dentist at UK that was on the work group 23 recommended we strike the words "on adult patients" 24 there so it would apply to anyone that's moderately 25 or deep sedated.</p> <p style="text-align: right;">Page 111</p>	<p>1 you've got to have a precordial and pretracheal 2 stethoscope. 3 So it only refers to those next three lines. 4 Line 14 refers to 15, 16 and 17 only; is that 5 correct? 6 DR. MOORHEAD: Say again, please. 7 DR. FORT: Line 14 only refers to line 8 15, 16 and 17, or does it carry on farther? 9 DR. MOORHEAD: Line 14 is by itself, but 10 15 is separate. 11 DR. FORT: Oh, 15 is separate. 12 MR. FRANCIS: You have got to have deep 13 sedation. 14 DR. FORT: Okay. 14 goes with 13. I'm 15 sorry. 16 DR. E. PAPE: Yeah, it's confusing. 17 DR. FORT: 14 goes with 13. 18 DR. MOORHEAD: Slightly off subject, but 19 can the final document, is it allowed to have 20 indentions? 21 MR. BISHOP: That's at the pleasure of 22 the administrative regs review subcommittee, but I 23 don't think it does. I think it's all right 24 justified -- or left justified. 25 DR. MOORHEAD: It would be so much</p> <p style="text-align: right;">Page 113</p>

<p>1 easier to read this document if it were -- if 2 indentions were allowed.</p> <p>3 MR. BISHOP: That's the point of good 4 government, though, to keep things kind of in a 5 constant state of confusion.</p> <p>6 You've got -- we've got to pass it before we 7 can understand it. I mean, that's the long and the 8 short of it here today.</p> <p>9 DR. FORT: Okay. So are we acceptable 10 to that -- to that compromise that was made by the 11 KDA group?</p> <p>12 DR. RICH: It's either AED or nothing, 13 right?</p> <p>14 DR. FORT: It's AED -- you got to have a 15 defibrillator, or you've got to have an AED. You 16 don't have to have an EKG for moderate or deep 17 sedation patients. And that was the agreement.</p> <p>18 DR. MOORHEAD: We're talking about 19 moderate? Deep, you have to have both?</p> <p>20 DR. FORT: Yeah, you have to have it. 21 Okay. So are we on motion? Allan?</p> <p>22 DR. RICH: Sure. I'll motion to phrase 23 as we have just --</p> <p>24 MR. FRANCIS: Of course, I'll second 25 Rich.</p> <p style="text-align: right;">Page 114</p>	<p>1 DR. MCKEE: Okay.</p> <p>2 MS. BURCH: Why is that?</p> <p>3 MR. BISHOP: Well, because I agree 4 with -- the way it is, one and two need to be 5 indentions. They'll do something to make that more 6 clear. I don't know exactly what they'll do, but 7 they'll do something.</p> <p>8 DR. ZENA: Wait a minute. I'm a bit 9 confused here. My understanding was that we put 10 7-N, EKG, that that could be delegated to a CRNA 11 bringing it to the facility; is that right?</p> <p>12 MR. BISHOP: Correct.</p> <p>13 DR. ZENA: Okay. So --</p> <p>14 MR. BISHOP: We haven't changed that.</p> <p>15 DR. ZENA: Right. Now, somehow or 16 another, if you're going to do deep sedation on a 17 pediatric patient, then you need to have an EKG.</p> <p>18 MR. BISHOP: That's correct.</p> <p>19 DR. ZENA: And they said, well, you 20 don't need to worry about that because it's already 21 in seven -- wait a minute now. That says as long as 22 the CRNA is there and brought his machine or her 23 machine with them --</p> <p>24 MR. BISHOP: Well, one and two -- the 25 numbers one and two under parenthesis N in line</p> <p style="text-align: right;">Page 116</p>
<p>1 DR. FORT: Okay. Any further 2 discussion?</p> <p>3 MS. BURCH: Help me see where it says, 4 deep, you have to have both.</p> <p>5 DR. FORT: You don't have EKG on 6 anybody.</p> <p>7 MS. BURCH: On either. Okay.</p> <p>8 DR. MOORHEAD: No, you have to have EKG 9 on deep.</p> <p>10 DR. FORT: Oh, you do?</p> <p>11 MS. BURCH: Where does it say that?</p> <p>12 DR. E. PAPE: It's in a different 13 section.</p> <p>14 DR. MOORHEAD: Line N of -- line 7 15 under N.</p> <p>16 DR. FORT: Right there. Okay.</p> <p>17 MS. BURCH: I gotcha. Okay.</p> <p>18 DR. MOORHEAD: Line 7 and line 11 go 19 together. "Shall be present for use by deep 20 sedation general anesthesia permit holders."</p> <p>21 MR. BISHOP: And I will tell you now 22 that the LRC is probably going to reorder that -- my 23 guess.</p> <p>24 DR. MCKEE: Rearrange it?</p> <p>25 MR. BISHOP: Yeah, probably so.</p> <p style="text-align: right;">Page 115</p>	<p>1 seven. So lines 8, 9, 10 and 11 go with parenthesis 2 N in line seven.</p> <p>3 So you have to have -- the equipment list reads 4 an EKG. "One, may be present by use by minimum 5 pediatric sedation, moderate enteral, moderate 6 parenteral, moderate pediatric sedation permit 7 holders for pediatric patients with significant 8 cardiac history, and the EKG shall be present for 9 use by any type of deep sedation, general anesthesia 10 permit holders."</p> <p>11 DR. ZENA: Okay. I just want to make 12 sure we weren't being --</p> <p>13 MR. BISHOP: Yeah. No, we haven't been 14 in conflict there. We're fine.</p> <p>15 MS. BURCH: The indent would help.</p> <p>16 DR. S. KING: It sure would.</p> <p>17 DR. FORT: Okay. Okay. So we have the 18 motion on the table and the second.</p> <p>19 MR. FRANCIS: Second.</p> <p>20 DR. FORT: And do we have any additional 21 discussion?</p> <p>22 BOARD MEMBERS: (No response.)</p> <p>23 DR. FORT: All in favor?</p> <p>24 BOARD MEMBERS: Aye.</p> <p>25 DR. FORT: Any opposed?</p> <p style="text-align: right;">Page 117</p>

1 BOARD MEMBERS: (No response.)
2 DR. FORT: Okay. Is that everything?
3 MR. BISHOP: Dr. Moorhead, is there
4 anything else?
5 DR. MOORHEAD: I don't think so.
6 MR. BISHOP: I think we're okay.
7 DR. FORT: Another motion?
8 MR. BISHOP: No, I haven't --
9 MR. GAITHER: Excuse me. First of all,
10 very quickly, I'd like to thank the board for their
11 attention and, on behalf of myself and the Kentucky
12 Association of Nurse Anesthetists, I think it has
13 been very informative for me personally to be
14 present today, and I appreciate all your input.
15 The only other question that I have is we
16 greatly appreciate the adoption of the rules as you
17 have modified them. The question that I have is in
18 the interim time between since your emergency
19 regulations have already been issued and the time
20 that your final rule is adopted, do we need to look
21 at an advisory opinion statement or to the CRNA
22 stand at this initial point right now today or
23 tomorrow?
24 MR. BAUSCH: We have a special meeting
25 immediately after this meeting to follow the open

Page 118

1 meetings act, so we'll close one and go back in and
2 address that very issue.
3 MR. GAITHER: Okay. Thank you so much.
4 MR. FRANCIS: That shouldn't take long?
5 MR. BAUSCH: No.
6 MR. FRANCIS: Okay. And that won't take
7 long; so --
8 MR. GAITHER: Okay. Thank you.
9 DR. FORT: Okay.

